

TRANSCRIPT OF PROCEEDINGS

IN THE MATTER OF:)
)
DEPARTMENT OF LABOR)
)
Mine Safety and Health Administration)
)
30 C.F.R. 75)
)
Underground Coal Mine Ventilation)

Pages: 1 through 158 **AB14-HEAR-TRANSCRIPT-3**
AB18-HEAR-TRANSCRIPT-3
Place: Evansville, Indiana
Date: May 13, 2003

HERITAGE REPORTING CORPORATION

Official Reporters
1220 L Street, N.W., Suite 600
Washington, D.C. 20005-4018
(202) 628-4888
hrc@concentric.net

THE DEPARTMENT OF LABOR, MINE SAFETY
AND HEALTH ADMINISTRATION

IN THE MATTER OF:)
)
Mine Safety & Health Administration)
)
Underground Coal Mine Ventilation)
)
)

Holiday Inn
4101 U.S. 41 North
Evansville, Indiana

Thursday,
May 13, 2003

The hearing convened, pursuant to the notice, at
8:00 a.m.

BEFORE: MARVIN W. NICHOLS, JR.
Moderator

MEMBERS OF THE COMMITTEE:

JON KOGUT
FRANK HEARL
BOB THAXTON
LARRY REYNOLDS
GEORGE NIEWIADOMSKI

SPEAKERS:

JOE MAIN

P R O C E E D I N G S

(8:00 a.m.)

1
2
3 MR. NICHOLS: My name is Marvin Nichols. I'm
4 the director of the Standards Office for MSHA and I'll be
5 the moderator for today's public meeting. On behalf of
6 Dave Lauriski, the Assistant Secretary for MSHA and Dr.
7 John Howard, the Director of NIOSH, we want to welcome
8 all of you here today.

9 Today's public hearing is being held to receive
10 your comments on two related MSHA regulatory actions.
11 First, we have reopened the record for comment on the
12 joint MSHA and NIOSH single-sample proposed rule that was
13 originally published on July 7, 2000.

14 Second, we have repropoed the plan verification
15 rule. It was published in the Federal Register on March
16 6, 2003. Your comments today will be included in the
17 record for both proposed rules. The two proposed rules
18 were based upon the 1996 recommendations of the Secretary
19 of Labor's Advisory Committee on the elimination of
20 pneumoconiosis and the comments received in response to
21 the previous proposed rules in 2000.

22 These rules are intended to eliminate Black Lung
23 and pneumoconiosis by eliminating miners overexposure.
24 They completely changed the federal program for
25 controlling, detecting and sampling for respirable dust

1 in coal mines. The emphasis on the new program will be
2 on verifying engineering controls so that miners are
3 protected on every shift.

4 Let me introduce the panel that's up here with
5 me. To my left is Bob Thaxton. Bob is the technical
6 advisor in Coal Mine Safety and Health. He also chairs
7 the dust committee. Next to Bob is Larry Reynolds.
8 Larry is with the Solicitor's Office. At the end of the
9 table is George Niewiadomski. George is a mine safety
10 and health specialist with Coal Mine Safety and health.
11 To my right is Frank Hearl. Frank is a senior advisor in
12 the Office of the Director of NIOSH. As you know NIOSH
13 and MSHA are joined on the single-sample rule. And at
14 the end of the table is John Kogut. John is a
15 mathematician with the Office of Program Policy and
16 Evaluation.

17 We also have to other members of the committee
18 in the audience. They work for my office at
19 headquarters. That's Pam King. Pam is a reg specialist
20 over at the right here. Ron Ford on the front row.
21 We're a little crowded at the front table, so Ron is
22 seated on the front row here. Ron is an economist in my
23 office.

24 Let me mention how today's hearing will be
25 conducted. The formal rules of evidence do not apply at

1 these hearings and the hearing is conducted in an
2 informal manner. Those of you who have notified MSHA in
3 advance will be allowed to make your presentations first.

4 Following these presentations, others who request an
5 opportunity to speak will be allowed to do so.

6 I would ask that all of the questions regarding
7 these proposed rules be made on the public record and
8 that you refrain from asking the panel members questions
9 when we're not in session. The reason we do this is that
10 we want all of the discussions concerning these rules on
11 the record.

12 Following the completion of my opening
13 statement, Bob Thaxton will give you an overview of the
14 new proposed plan verification rule. A verbatim
15 transcript of this hearing is being taken and it will be
16 made available as part of the official record. Please
17 submit any overheads, slides, tapes and copies of your
18 presentations to me so that these items may be made part
19 of the permanent record.

20 The hearing transcript, along with all the
21 comments that MSHA has received to date on the proposed
22 rule will be available for review. We intend to post a
23 copy of the transcript on MSHA's web page at
24 www.msha.gov. If you wish to obtain a copy of the
25 hearing transcript before this, you should make your own

1 arrangements with the court reporter.

2 We're also accepting written comments and data
3 from any interested party, including those who do not
4 speak today. You can give written comments to me during
5 the hearing or send them the address listed in the
6 hearing notice. If you wish to present any written
7 statements or information for the record today, please
8 clearly identify them. All written comments and data
9 submitted to MSHA will be included in the official
10 record.

11 Due to the request from the mining community,
12 the agency will extend the post period comment period for
13 both plan verification proposal and the single-sample
14 reopening from June 4th to July 3rd. The notice to
15 extend plan verification from June 4th to July 3rd will
16 be published in the Federal Register soon. A notice to
17 extend single-sample for the same period will be
18 published after consultation with NIOSH. As I mentioned
19 earlier, that's a joint effort between us and NIOSH and
20 we'll have to have consultation with them.

21 As you know, we've schedule three additional
22 public hearing to address these two proposed rules. They
23 will be in Lexington, Kentucky on May 15th, in
24 Birmingham, Alabama on May 20th and in Grand Junction,
25 Colorado on May 22nd. The hearings will begin at 8:00

1 a.m. each day and end after the last scheduled speaker.

2 Let me give you some background on the two
3 proposed rules. First, the single-sample proposed rule,
4 which was originally published on July 7, 2000, would
5 allow MSHA to make compliance determinations on single-
6 sample results. The agency would no longer use the
7 averaging method to determine if miners were overexposed
8 to respirable dust.

9 Averaging can mask individual overexposure by
10 diluting a high sample with a lower sample taken on
11 another shift. Using single-sample measurements rather
12 than averaging multiple samples for compliance purposes
13 will better protect miners' health. Single samples can
14 identify and remedy excessive dust conditions more
15 quickly. Single samples measurements have been used for
16 many years by NIOSH and at metal and non-metal mines in
17 this country.

18 MSHA and NIOSH are jointly reopening the
19 rulemaking record for this proposed rule to provide an
20 opportunity for you to comment on the new information in
21 the record concerning MSHA's current enforcement policy,
22 health affects, quantitative risk assessment,
23 technological and economic feasibility and compliance
24 cost, which has been added since July of 2000.

25 For example, we updated the preamble to include

1 the most recent information on the prevalence of Coal
2 Workers Pneumoconiosis or CWP or Black Lung among coal
3 miners examined under the Miners Choice Program during
4 the period 2000 to 2002. These findings show that miners
5 continue to be at risk of developing CWP under the
6 current dust control program. The quantitative risk
7 assessment is based on additional and more recent data.
8 None of the new information changes the actual finding
9 published in the Federal Register on July 7, 2000. The
10 single-sample issue has been through a long public
11 process, which is outlined in the preamble of the
12 proposed rule.

13 The second regulatory action is the repropoed
14 plan verification rule. This proposed rule supersedes
15 the one published on July 7, 2000. MSHA held three
16 public hearings on the previous proposed rule during
17 August 2000. Many commenters urged the agency to
18 withdraw the earlier proposed rule and go back to the
19 drawing board.

20 Some commenters believes that MSHA had failed to
21 adequately address their concerns and the reforms of the
22 Federal Dust Program recommended by the Dust Advisory
23 Committee, by NIOSH in its criteria documents and reforms
24 urged by coal miners since the mid-1970s. After
25 carefully reviewing all the facts, issues and concerns

1 expressed by commenters, MSHA is proposing a new rule in
2 response to the comments made to the July 7, 2000
3 proposed rule.

4 Box Thaxton will give us a short overview of the
5 new plan verification rule. You can follow Bob's
6 presentation on the screen over there. We're going to
7 also enter Bob's presentation on the web page for further
8 reference. And we ask that you hold any questions that
9 you may have for Bob until you come up to the table and
10 we'll address any questions that you have at that time.

11 MR. THAXTON: First, can everybody hear me okay
12 without the microphone in the back?

13 MR. NICHOLS: Can the court reporter hear?

14 COURT REPORTER: Yes, sir.

15 MR. NICHOLS: Then we'll going to insert the
16 whole presentation into the record.

17 MR. THAXTON: What I'm going to try to do is go
18 through a real short presentation as far as going through
19 the rule and walking you through it. There are copies of
20 this presentation that generally follow along with it
21 that were available for you to pick up that you can go
22 along with it. There's some slight changes from what you
23 see in the handout.

24 What we're going to through is the proposed
25 rule, both single-sample and plan verification and try to

1 relate how they work and what can be expected from it.
2 First, why are we doing this? What's the reason behind
3 some of this? Just for an instance, we put together some
4 information concerning the instance of Black Lung from
5 1981 to the present 2002.

6 As you can see, there's really not been a major
7 drop off in the instance of Black Lung or the presence of
8 Black Lung among miners for the last 20 some years. And
9 it's time for us to start taking a look at that and see
10 if we can do something that will start driving this down
11 to a more acceptable level. The acceptable level for us
12 is nobody getting Black Lung. And you can see that we're
13 going from 4.1 percent in 1981, and in the current data
14 that's been referenced in news articles that has been put
15 out by NIOSH recently is a combination of MSHA and NIOSH
16 data.

17 MSHA, if you recall, conducted and offered free
18 x-rays to miners for a period of about three years. That
19 data, in conjunction with the data that NIOSH generated
20 from the program that is offered by mine operators to
21 underground miners, was all combined and produced the
22 information for 2002 shown here, which shows 2.8 percent
23 prevalence. That means that we're seeing 2.8 of the
24 current miners, particularly, coal workers'
25 pneumoconiosis to some degree.

1 That's not a production. At the same time,
2 we're showing in the black box the percent of samples
3 that have exceeded 2 milligrams and the average
4 concentration of those samples. This is based on the
5 operator's DO samples only. The DO samples are the
6 designation occupation, which is the continuous miner
7 operator, persons working closely on longwalls, shears,
8 those type of people.

9 The two rules, we consider one package. They're
10 married, both go out at the same time. They're designed
11 to develop effective plans and provide with control dust
12 and for monitoring of the effectiveness of those
13 controls. Single-sample provides for a new finding that
14 the average concentration can accurately be measured over
15 a single shift. That's contrary to what we're doing
16 currently. Ever since the 1972 finding that says the
17 accuracy has to be based on multiple single average.

18 This rule, as a new standard, that says the
19 Secretary may use single, full-shift measurement to
20 determine the average concentration over that shift that
21 the sample is collected. This is a change. This is
22 where we come into single-shift sampling. That is that
23 we take a sample of one shift. If that concentration
24 exceeds the standard level we set for citation purposes,
25 then you can be cited for that sample. Whereas, right

1 now, it's based on the average of five samples.

2 Plan verification provides for each underground
3 coal mine operator must have a verified ventilation plan.

4 The portion that we're talking about being verified are
5 the dust control portion. It's contrary to what we have
6 at this time. We have plans that are sampled to see if
7 they actually work, but they're samples that are pretty
8 low production level. They may have elevated controls
9 where they exceed plan parameters. That's contrary to
10 what this rule provides for.

11 The plan will be verified under actual mining
12 conditions by mine operator samples. They actual mining
13 conditions, as you'll see later on, is going to increase
14 the production level that has to be maintained during the
15 verification process and we control the amount of
16 controls that are in place so that they more truly
17 represent what they have in the plan.

18 MSHA assumes responsibility for compliance of
19 taking samples in underground mines. Plan verifications
20 only of that underground mine. Single-sample of that
21 effects both the surface and the underground. So the
22 single-sample rule will be applied throughout the coal
23 industry. Plan verification will only be applicable to
24 underground mines. But under that, MSHA will assume the
25 responsibility. We will be going out and collecting

1 samples to determine compliance. We will be the ones
2 going out taking samples to determine abatement of the
3 citation.

4 Also, finally, MSHA samples will be used to set
5 the reduced standards for the courts. As we currently
6 have them, there's a combination of MSHA and operator
7 samples that are utilized to set the process to determine
8 what quartz content is in the mine area so we can set a
9 standard that protects people. Under the proposed plan
10 verification rules, only MSHA samples will be utilized
11 for that purpose.

12 To verify the plan, what we're going to do is
13 show you a little bit about -- a comparison of what
14 you're seeing right now. What we're comparing is what is
15 under the current rule and what we're proposing under the
16 2003 rules. Under the current rule, MSHA samples to
17 approved plan. That sampling is based on the average of
18 multiple samples. It's full shift, 8-hours or less,
19 portal-to-portal samples and it's considered valid
20 samples with at least 60 percent of the average
21 production. That's the average over the last 30 shifts.

22 That average is usually determined, though, by the
23 either just talking with miners, checking with my
24 operator as to what they normally produce. There's no
25 hard and fast information that's required to be kept to

1 base that on. You're going to see there's a rule change
2 related to that.

3 Under the 2003 proposal, operator samples would
4 be utilized to verify the plan effectiveness. The
5 operator has the controlled conditions so they can make
6 sure that they get the production levels and keep the
7 controls at a level that we've asked for in the plan
8 verification process. It will require full-shift samples
9 and that's production time, full-shift production time.
10 We want the samples turned on when the miner gets to the
11 section and they cannot be turned off until they leave
12 the section. So that the actual time that a miner is up
13 on a section that we're collection these samples.

14 At higher than average production -- we'll get
15 into the production in just a minute, but it's higher
16 than what we see this average. And there will be
17 separate quartz and coal mine dust verification limits.
18 We have respirable limits that are set up for 1, 2, 3, up
19 to 5 samples. If an operator wants to verify a plan on
20 one series of samples, and we say series times to shift
21 the samples they have to collect. They have to meet a
22 separate respirable dust limit and a separate quartz
23 limit. Both were designed to obtain a 95 percent
24 confidence that they are actually meeting the 2
25 milligrams and 100 micrograms limit on those two areas.

1 So what we have first, as an example, for one
2 shift of samples the operator would have to meet a 1.71
3 milligram cubic meter respirable dust level and an 87
4 microgram quartz level in order to verify their plan is
5 protecting people with 95 percent confidence at the 2
6 milligram and 100 microgram levels.

7 The proposed rule also allows the use of PAPRs
8 or powered air-purifying respirators and administrative
9 proposed on any mining units as a supplemental measure
10 after exhausting feasible engineering controls. So this
11 goes to that if an operation comes up, they're producing
12 at the level they we say to, the controls have been put
13 in place, there's nothing left to be done and they're
14 still exceeding the standard and they can't supplement
15 those engineering with additional, either administrative
16 controls or the use of powered air-purifying respirators
17 to protect miners until such time as something else
18 becomes available.

19 The key here that I want emphasize is that after
20 exhausting feasible engineering controls. No controls
21 should be allowed to be taken off. All controls are
22 worked on and utilized up to that point to show how low
23 we can get will have to be maintained from that point
24 forward. This plan also comes under review every six
25 months by the agency. We continuously will be looking to

1 see that all feasible controls are being utilized. If
2 additional controls become available or the mining system
3 changes so that some other controls that we looked at in
4 the past now becomes feasible, it would be expected to be
5 put in place. If it's not, then the operator will lose
6 the approval of his plan.

7 The information relating to the plan -- under
8 the current rule, MSHA samples are conducted at 60
9 percent of the average production and there's no records
10 of that production required to be maintained. Like I
11 said, our talking with miners and talking with mine
12 operators, trying to determine what's the normal
13 production so we can then figure out what 60 percent of
14 that is to determine whether our samples are valid or
15 not.

16 Under the proposed rule, it will require that a
17 sample from this plan be verified by the mine operator at
18 the 10th highest production level over the last 30
19 shifts. And what the 10th highest production level is,
20 we will get into in a minute and demonstrate what we're
21 talking about. It does also require the recording of
22 production and maintaining those records for a six month
23 period so that the agency can come in and review those
24 records to determine what the average production is for
25 that section and make sure that the samples that are

1 being collected are truly representative of normal
2 conditions.

3 This recording of production is raw material.
4 We're looking for anything that's found -- coal, rock.
5 It makes no difference, total production for that sample.

6 Ten times production level, what does that mean? Where
7 does that really come into play and how does it relate to
8 what we're doing right now? What we've done is we've
9 shown a series of 30 shifts of production off an actual
10 longwall MMU. This is in Northern West Virginia. And
11 what we did was plot those and you can see that the
12 average total for this particular longwall is 6295 tons
13 over the last 30 production shifts.

14 If we went with the MSHA samples right now, 60
15 percent of average would bring it down to about 3700
16 tons, which is what we would look for in 60 percent of
17 the samples that were found versus the average of 6295.

18 We were asked to look at going to 90 percent of average.

19 Well, 90 percent of average only gets us still to about
20 5600 tons. So we're still below what the average is for
21 the 30 shifts.

22 What we've proposed in the rule is the 10th
23 highest production. And what that means is that out of
24 30 shifts, two-thirds of the shifts are going to be below
25 the 10th highest and one-third is going to be higher. So

1 what we're looking at is that we're reasonably saying is
2 we're getting what we consider the highest production
3 that represents the more normal operations for that
4 section so that we can truly verify whether those
5 controls are going to be adequate or not.

6 In this case, the 10th highest production would
7 be about 7500 tons. Well, you can see that's more than
8 average. It's quite a bit higher than the 60 percent
9 that we're currently using. So we're looking for the
10 operator to collect samples at a production level that
11 truly represents what they're capable of producing.

12 The use of PAPRs or powered air-purifying
13 respirators -- under the current rule, if an operation
14 wants to use PAPRs, they're perfectly capable at this to
15 do so. If they apply them in conformance with the
16 regulation under our current rule, 72.700, which says how
17 to set up a respiratory detection program. If they're
18 utilized in that fashion and completely follow those
19 guidelines, then we can consider that as a means of
20 adequate protection for miners and it would result in
21 possibly getting any excessive dust citations in that
22 section would be classed as non-S&S. What that does is
23 that reduces the penalty dramatically on overexposure
24 citations.

25 Under the 2003 proposed rules, this is carried a

1 little further. It does permit use when all feasible
2 engineering controls have been exhausted. Again to
3 emphasize, we've worked with an operation. They have to
4 provide all controls possible determined by the agency as
5 being feasible for that operation. Once they have
6 exhausted all these controls, then they come under this
7 plan that they can use PAPRs, not until so. We only
8 allow the use of loose-fitting powered respirators with
9 MSHA's and NIOSH's approval. Currently, that's only one
10 unit. That unit that has both approvals is the 3M Ratel
11 Helmet.

12 You must provide the Respiratory Protection
13 Program as part of the approved ventilation plan. This
14 Respiratory Protection Plan is under our current rules.
15 It just says that if you follow all these things, we will
16 give you a non-S&S determination. Under this proposed
17 rule, they have to write their Respiratory Protection
18 Program and it's included as part of their plan for the
19 mine so it becomes part of the regulation for that mine.

20 So if there's a violation that something is not being
21 followed in that Respiratory Protection Program, it is
22 citable under the plan's provision.

23 You must maintain dust levels as low as possible
24 with feasible engineering controls. Again, if we have an
25 operation where they are able with all feasible controls

1 to get down to, say, 2.5, they would be required to
2 maintain nothing greater than the 2.5 and allowed to use
3 the PAPR Program then to provide extra production for
4 miners until such time as additional controls become
5 available. Remember, we're going to review this plan
6 every six months. And if something changes in the mine,
7 or another control measure becomes available, then that
8 would be presented to the mine operator for inclusion at
9 that particular time.

10 Protection factors assigned to this particular
11 type of unit for this rule is between 2 to 4, depending
12 on the built-in air velocity. And that protection factor
13 is assigned for the unit use, not to a particular
14 respirator. The action that we're taking is as velocity
15 on the face increase, it decreases the ability of the
16 PAPRs to produce the kind of environments that the unit
17 would require. So as the velocity of air increases on
18 the face, the protection factor goes down. The maximum
19 protection factor is 4 and it depends on where you are as
20 to where you fit in between that 2 and 4.

21 It is important to know that protection factor 4
22 as the maximum, is an indication only the air inside the
23 PAPR itself would be one-fourth the concentration of the
24 air outside. That's the way we're looking at the use of
25 PAPRs and that's how we're applying the protection

1 factor. That protection factor is not assigned to the
2 unit. So just because you buy a particular PAPR, you
3 can't take it just anywhere and get a protection factor
4 of 4. It depends on where it's used. The protection
5 factor is assigned to the area used or the unit used for
6 collecting.

7 The type of sampling you're going to see, under
8 the current requirements, operator bi-monthly mine
9 sampling is conducted in underground mines and that's
10 what you see as every bi-monthly period. Citations are
11 issued for failure to submit the required samples and
12 citations are issued for exceeding the actual standard.
13 The operators are also required to collect abatement
14 samples to determine when compliance is achieved in
15 response to a citation.

16 MSHA currently conducts quarterly sampling on
17 MMUs, section DAs and part mining-miners. We also issue
18 citations for exceeding the applicable standard based on
19 those. However, it's still based on the average of
20 multiple samples. Under the proposed 2003 rule, the
21 operator will be required to collect the plan
22 verification samples for additional approval and
23 designated MMUs collected, collect one sample each
24 quarter to confirm whether the controls continue to be
25 effective.

1 Each operator will be required to submit a plan
2 for approval and all MMUs that currently have plans will
3 eventually have to go through this within the first year
4 the rule is in place. They would go through verification
5 sampling to prove that, that plan works.

6 Certain MMUs -- those that are found to exceed
7 the criteria spelled out in the rule, which is if you
8 exceed the actual standard on any sample that MSHA
9 requests, that MMU would then be designated for quarterly
10 sampling by the operator. And there the operator will
11 collect samples each quarter to show that plan is still
12 working the way it's intended. And all of those
13 standards have to be selected at what we're calling the
14 10th highest production level and they would have to have
15 their controls monitored where they could not exceed the
16 plan parameters by more than 115 percent.

17 An example would be if somebody has 100,000 cfm
18 of air in their plan for the longwall face. They could
19 not exceed that quantity by more than 115 percent of it,
20 which is 115,000 cfm while we're doing the doing this
21 sample. So they cannot have 150 or more cfm than at that
22 time. But they do have to meet the minimum. At the same
23 time the 10th highest production level has to be
24 maintained. So they have to be at that 10th highest
25 production level or higher for those samples to count.

1 MSHA will be collecting samples to determine the
2 compliance and abatement of citations, not the operator.

3 All MSHA determinations will be made on single, full
4 shift samples measurements. And citations could be
5 issued for exceeding the applicable standards.

6 Now the level at which we issue citations and we
7 get into is not the same as what we do right now. To
8 make a compliance/non-compliance determination, under the
9 current rule, we use the average of multiple samples to
10 make compliance/non-compliance determination at all coal
11 mines. This is based on the average of five samples on
12 five different shifts. If the average concentration
13 exceeds the applicable standard by 1/10th milligram or
14 more, non-compliance is indicated. The key thing is, is
15 that we're basing this on the average of five samples
16 collected on five different shifts.

17 Under 2003 proposed rule, single-sample
18 determinations at all coal mines, surface and
19 underground. As we said, simple-sample determination
20 apply to the coal mining industry as a whole. Non-
21 compliance or citations will be issued at a 2.33 if
22 you're on a 2 milligram standard. Now we are basing this
23 on a single shift for samples. We've taken one sample
24 on, say, DO is collected for that shift. To have 95
25 percent confidence that you're exceeding the 2 milligram

1 standard on sample, we cannot cite until we get to the
2 2.33 milligrams.

3 Now the difference between that and what we're
4 currently doing is where we based the non-compliance at
5 2.1. The 2.1 is based upon the average of five samples
6 collected on five shifts. That gets us to the same level
7 of confidence that we're achieving at the 2 milligram
8 standard. According to the one single sample, to obtain
9 that confidence interval, we have to raise the level that
10 we actually write the citation to. That's why we call
11 this the citation level, not the compliance level.

12 Even if somebody does not exceed 2.33 milligram
13 on the confidence interval, but they exceed the 2
14 milligram standard, we can see that there is a potential
15 problem and we would further analyze whether the plan is
16 adequate. We may ask for the operator to conduct
17 additional sampling of their plan for verification that
18 the plan works. It will not just simply walked away
19 from, but there is no citation issue simply because they
20 do not meet the 95 percent confidence level and we would
21 not be able to substantiate it in court.

22 The citation levels for all standards for 2
23 milligrams and below are spelled out in the rule. So as
24 you go down, if you're going to reduced standard because
25 of quartz, you're not going to have get to the 2.33. It

1 drops as you go downward the standard.

2 The effect of averaging -- why are we doing
3 this? What are we talking about? This is an example of
4 a particular mine where operator sampling on five
5 different samples goes to DO, five different shifts and
6 what they actually submitted was the first sample was 3.2
7 milligrams, a second sample of 1.6, a third sample of
8 1.5, fourth one at 0.8 and fifth sample at 3.1. If you
9 average all five of those, it comes up to 2.0. Under our
10 current rules, this section is considered to be in
11 compliance and there is nothing that can be done.
12 There's no action take.

13 Under the new proposed rules, that if we have
14 this situation where we take a sample in this first shift
15 and we get a 3.2 on an initial sample, it exceeds the
16 2.33. That operation is noncompliance. At that time,
17 action will be taken to reduce the exposures. That's the
18 impetus of this particular set of rules, is to do away
19 with these shifts of overexposure. That if we can
20 protect people on each every shift, we think that we will
21 prevent people from developing Black Lung.

22 Part of the plan verification program is that
23 you need controls in place. Those controls that will
24 actually result in compliance. Well, to go along with
25 that, under the current rule, we have a requirement that

1 the examination of the controls at the beginning of each
2 shift to make sure that those controls are actually in
3 operation.

4 Well, currently we have controls in the plan
5 that are the minimum parameters. Like I said, a lot of
6 times you'll find that the air is anywhere from 150, 200
7 percent of what you see in the plan. You may find water
8 pressure sprayers that won't be actually called for in
9 the plan. You'll see production may be not be as high as
10 full production is. While the same period the
11 examination of the controls is important. It is
12 important right now. It will be even more important
13 under the plan verification provisions that are in the
14 proposal so that we do maintain that provision under the
15 current rules. We did not change that. So the rule will
16 still be that requirement for one shift examination under
17 the control parameters that are in the plan. The change
18 is that the plan parameters are going to be
19 representative of what tool needs to be in place to
20 maintain compliance.

21 Miner participation in this program -- under the
22 current rule, miners have the right to accompany, with
23 pay, MSHA personnel during MSHA sampling. Also, the
24 operator notifies the miners' representative of plan
25 submissions and revisions and post them on a bulletin

1 board. The miners' rep may submit comments to MSHA
2 during that review process so those comments can be
3 considered.

4 Under the 2003 proposed rule there is a little
5 bit more added in the miners' participation. Operator's
6 sampling -- the operator has to notify miners of the date
7 and time prior to verification of quarterly sampling that
8 is being collected. So there will be some kind of notice
9 put out so that the miners will know that the operator is
10 going to be collecting samples on this particular MMU to
11 verify the plan two days from now or three days from now
12 or whatever so that you know that's going to be coming.
13 The miners have to be provided the opportunity to observe
14 that sampling, but there is no entitlement to pay.

15 Miners participation during MSHA stays the way
16 it is. Miners have a right to accompany, with pay, MSHA
17 personnel during the compliance and abatement sampling.
18 So anything that MSHA is conducting you still have the
19 opportunity to travel with us with pay provisions. The
20 provisions for the submitting of the plan and having the
21 right to submit comments during our review, that remains
22 in effect as it does now.

23 Use of personal continuous dust monitors or
24 PPDMs -- under the current rules, there is no
25 consideration for those units. They were not anticipated

1 in 1981, not available, so they were not addressed in the
2 current rule. Under the 2003 proposed rule, any unit
3 that the Secretary of Labor approves with the conversion
4 factor is acceptable. The conversion factor is that any
5 unit that's approved has to be related to the current
6 sampling system. We use MSA samplers right now. That's
7 what most of you see is the MSA sampler, which are the
8 filters. Whatever unit comes up as a personal continuous
9 dust monitor has to be able to be equivalent that
10 particular unit.

11 Under the proposed rule, designated miners must
12 wear for the full shift portal-to-portal. These are
13 personal continuous dust monitors to measure the exposure
14 of an individual. If an operation elects to utilize
15 these units, then they would be expected to put them on
16 specific people and those people would have to wear them
17 portal-to-portal full shift.

18 It permits the operator to use administrative
19 proposal without first exhausting feasible controls.
20 Again, we back to this a personal continuous monitoring.

21 When you're monitoring individuals, the movement of
22 individuals affects the concentration of dust that
23 they're exposed to. As long as you're monitoring each
24 individual, then you can utilize it to move them around
25 to maintain the exposure. That's the draw to use

1 personal monitors.

2 There will be no citations for overexposure
3 cited on personal continuous dust monitor readings.
4 However, the operator would be required to record those
5 readings at the end of each shift. And any reading that
6 exceed the standard, they would have to take action to
7 reduce that exposure and record that action. Failure to
8 take corrective action to reduce that exposure would be a
9 potential violation of these regulations.

10 What are some of the benefits that we see for
11 the two rules as a package? First, we can get plain
12 parameters that reflect actual mining conditions that
13 have been verified at highest production levels, (2) no
14 operators collecting samples used to determine
15 compliance, (3) protection for miners when feasible
16 engineering controls have been exhausted, (4) provisions
17 for use of personal continuous dust monitors.

18 What are some of the benefits that you actually
19 are deriving in relation to Black Lung? Well, we've
20 taken a conservative approach and looked at the data that
21 we currently have. Based on that data, we've drawn some
22 conclusions about how many people we would see reduced as
23 far as developing Black Lung disease. And the 2003
24 proposal would reduce the total of 42 cases less of CWC,
25 broken down to designated occupations, non-designated

1 occupations and roof bolters.

2 Just to walk you through a little bit, some of
3 the concerns as to how this particular program works in
4 conjunction with what we have as a companion document on
5 our website this time. MSHA did publish the inspection
6 procedures that we anticipate utilizing if the rules were
7 to go into effect as they're written. Those inspection
8 procedures are not part of the rule. MSHA does not write
9 any rules on how often we're going to sample and where
10 we're going to samples. Those are issues that are
11 covered in the inspection procedures manual.

12 So that you will know what those procedures and
13 be able to make comments on the rule appropriately, we've
14 included a draft of that document on our website. So
15 what we've done is we've prepared three scenarios of the
16 samplings so that you can see how those interrelate.

17 I'm going to the first scenario is that an
18 operator collects his first verification sample. Like I
19 said, they have to meet two levels, respirable dust and
20 quartz. This operation comes in. He's sampling the mine
21 operator and the roof bolters. We say that we're going
22 to do single shift monitoring work, the operator does
23 plan verification monitoring, it's one shift that is
24 looked at, at a time. That doesn't mean that there's
25 only one sample collected. There are specific operations

1 or specific occupations on each MMU that must monitored
2 under plan verification.

3 Continuous miner section -- we'd have to at
4 least monitor the continuous miner operator and the roof
5 bolt operators. So on this particular one, we're taking
6 a sample on the miner operator and the roof bolter to get
7 the dust concentrations of 1.6 and 1.7, respectively,
8 with 72 micrograms of quartz on the miner operator and 92
9 micrograms on the roof bolter.

10 You can see that, based on this, the very first
11 shift of the samples, they have to be 1.71 milligrams on
12 dust and nothing greater than 87 micrograms of quartz.
13 So you can see the roof bolter sample exceeds the 87
14 micrograms of quartz. That automatically tells the
15 operator that he has to collect a second shift sample.
16 The operator collects that second shift for verification,
17 submit them. We now have 1.63 on the miner operator with
18 71 micrograms of quartz, 1.69 milligrams and 91
19 micrograms on the roof bolter.

20 Now on two shifts of samplings for verification
21 the levels become 1.85 for respirable dust and 93
22 micrograms for quartz. All samples have to be below
23 those two limits. You can see all four respirable dust
24 concentrations and all four quartz levels do, indeed,
25 fall below those two limits. So we would consider the

1 operator has verified their plan and the controls that
2 they have place being sampled as to the 10th highest
3 production or higher does show that, that plan will work
4 and will maintain compliance with a 95 percent confidence
5 of the 2 milligram standard and 100 micrograms of quartz.
6 So it does verify the plan.

7 MSHA comes in and collects its first bi-monthly
8 set of samples. We sample miner operator, miner helper,
9 shuttle car operator and two roof bolters. We come in
10 with respirable dust on our samples for continuous miner
11 is 1.62 with 78 micrograms of quartz, miner's helper is
12 at 1.71.

13 And I apologize for the shuttle car operator
14 dust samples and quartz on this particular slide. The
15 1.41 is the respirable dust on the shuttle car operator.
16 The roof bolter is 2.38 with 138 microgram on roof
17 bolter no. 1 and 2.42 and 148 micrograms on roof bolter
18 no. 2. Based on this information of one shift of
19 samples, we would issue one citation for the roof bolter
20 occupation because they exceed the 2.33 citation level
21 with somebody on a 2 milligram standard.

22 We issue one citation because the roof bolt
23 operators are on one machine. Whatever they actually
24 take to correct that overexposure is going to effect that
25 one dust-generated source. The operator will be required

1 to take the corrective action to reduce those exposures
2 and then they must notify MSHA within 24 hours of having
3 those controls in place that they have them in place so
4 that the agency then can make the decision a decision
5 whether they're coming back in to collect an abatement
6 sampling or whether they're putting the operator back
7 into the verification sampling to upgrade the plan.

8 In this case, we've determined that we're going
9 to take the abatement samples. MSHA collects them, not
10 the operator. In addition, though, to this, because of
11 these high quartz levels, we're showing miners being
12 exposed to greater than 5 percent of quartz. An
13 indication that maybe the standard is too high and should
14 be adjusted downward. But MSHA's determination of the
15 actual standards on the last three MSHA samples
16 collected. It's the only way that we set a reduced
17 standard. You would think that possibly because we're
18 doing bi-monthly sampling that you would have to wait for
19 three bi-monthly periods to pass before we get a
20 sufficient number of mining samples to set that standard.

21 But when we come in and find somebody that's being
22 exposed to quartz levels greater than 5 percent and
23 they're on a 2 milligram standard, we think that's
24 important that we find out quickly whether that truly
25 represents an exposure that high level of quartz that

1 needs to be addressed.

2 So as soon as these sample results come back, we
3 don't wait for the additional bi-monthly period to
4 collect a sample, instead, there is a requirement under
5 our inspection procedures that MSHA would in and collect
6 two additional samples within the next 15 days after
7 being getting those results back to make two additional
8 quartz determinations. Based on those two quartz
9 determinations, plus the one we already have, we now have
10 the three MSHA samples that are necessary so that we can
11 go ahead and set a standard based on that quartz analysis
12 so we can get the protections in place for reduced
13 standards as fast as possible.

14 In addition to that, because these samples being
15 greater than the actual standards, the operator of this
16 particular MMU will be told that they're designated for
17 quarterly sampling, meaning that they're going to have to
18 collect a sample on this MMU each quarter at the
19 verification levels. That is the 10th highest production
20 level and maintain their dust control parameters at no
21 more 115 percent of their plan. Each quarter present
22 that sampling to the agency, just as they do now, to show
23 that the plan parameters are still continuing to be
24 effective in controlling dust.

25 The second scenario -- there's a variation on

1 this. We utilize the same samples at the beginning. So
2 the operator still has the same operation. He's got the
3 same samples on his verification samples. So we still
4 have a verified plan. What we're changing here is the
5 MSHA survey. We come in, all the MSHA samples are below
6 2 milligrams. All the quartz levels -- you can see the
7 highest quartz level is 78 micrograms. They're all less
8 than 5 percent.

9 MSHA comes in and looks at these samples. We
10 say compliance is determined based on this series of
11 samples. Nobody receives a citation. However, there's
12 another decision to be made. In addition to MSHA
13 inspection procedure, we want to put our resources where
14 we think there is the most problem. So anybody that
15 demonstrates truly well that they're in compliance on a
16 single shift determination, the agency will skip bi-
17 monthly periods for operations that show that they are
18 able to maintain concentrations consistently low.

19 So on this particular operation, they meet the
20 qualifications, initially, a single sample. We're still
21 using the 1.71 milligram per cubic meter as the
22 respirable dust level and 87 micrograms for quartz. And
23 all the samples that were reflected on this particular
24 sample as far as dust and quartz meets those two limits.
25 So it looks like the services at this MMU meets the

1 qualifications. That we would be able to skip the next
2 bi-monthly period for MSHA sampling because it looks like
3 it's well maintained for meeting the 2 milligram
4 standard.

5 However, to make that true determination, when
6 MSHA comes in to collect samples, we collect samples for
7 8 hours, portal-to-portal. We're also not mandating the
8 production level because our samples do represent
9 portal-to-portal samples and there are going to be shifts
10 when the operator does not exceed the verification
11 production level. Like we said, one-third of the shifts
12 are going to be above it. Two-thirds are going to be
13 below it. So it's likely that when MSHA comes in to
14 collect the sample, we have the chance that production is
15 going to be less when we collect our samples than what
16 the production level was to verify the plan.

17 Based on that, though, we want to make an
18 engineering judgment as to whether those controls
19 actually are keeping the dust levels low in that section.

20 So what we do is we do a conversion and we look at the
21 things that look that they really effect the results that
22 we're getting are the production and the ventilation air
23 quantity that's put in the section.

24 So what we do is we take the production during
25 this particular survey. We've established it 750 times.

1 The production that the plan was certified 800 times.
2 The ventilation air quality during the sampling was
3 10,000 cfm. The plan calls for 9800. So we're showing
4 some slight changes here as to what the parameters call
5 for and we're also showing a slight reduction in the
6 production for what the plan was verified in.

7 We take those two quantities and come up with a
8 ratio factor for those. And 1.06 is the ratio on the
9 production and 1.02 is the ratio for the air issues.
10 That's 750 times the production -- 800 being divided by
11 the 750 and the 10,000 being divided by the 9800. We
12 take those two factors, find those by multiplying the
13 highest concentration and the highest quartz level that
14 we obtained during our MSHA survey.

15 We apply it to the respirable dust and it comes
16 out to 1.75 milligrams per cubic meter and the quartz
17 goes to 84 microgram per cubic meter. What that tells us
18 is, is that 1.75 milligrams exceeds the 1.71 level that
19 we established for a single shift sample. Therefore,
20 based on that, we think that we think that an MSHA
21 judgment is, is that section is not being controlled at
22 the 1.71 level. Therefore, we will come back and do bi-
23 monthly sampling each bi-monthly period.

24 Now that's not a calculation that you as an
25 individual go through. That's a calculation that the

1 agency would be applying to its inspection samples only.

2 And it's only to determine whether we come back and do
3 the next bi-monthly sample. The most an operation can
4 skip is every other bi-monthly period. If they fail to
5 follow that, though, then they will be sampled each bi-
6 monthly period if not more often.

7 The last scenario is the use of PAPRs. This is
8 just for the sake of our discussion. For the longwall
9 section we're saying that the mine has a shearer clearer,
10 has shield sprays and hand sprays. They have a maximum
11 air velocity along the face of 500 feet per minute and
12 their verification production level -- that is what they
13 verify their plan at is 16,000 tons.

14 The sample results, we show the shearer operator
15 at 1.9 on respirable dust, 130 micrograms of quartz and
16 the 060, the furthest down wind, at 2.0 and 145
17 micrograms. Based on that, we're saying that this the
18 result of all the verification samplings. So I simplify
19 this down. We would actually be taking five or more
20 samples before they get to this point. MSHA will make
21 the determination based on all the information provided
22 that all feasible engineering controls are in place, but
23 the operator is still not able to verify the plan. They
24 cannot show that they meeting the 2 milligram standard
25 and the 100 microgram level for quartz with the controls

1 that are available to them. So they've put in everything
2 that's available. At that point, the agency says you've
3 exhausted all the feasible engineering controls and the
4 operator says I want to use the PAPR program.

5 What the operator does then is they have to
6 submit a full program to be included as part of the plan.

7 It becomes part of the approved plan for that particular
8 time for that section. So it becomes part of the things
9 we look at that have to be complied with on each and
10 every shift. It requires in this particular instance all
11 miners working in and by the shearer must wear a PAPR in
12 accordance with the approved plan. They put that in as
13 part of its plan, then MSHA would come in. All miners
14 working downwind of the shearer must have working PAPRs
15 that meets the approved criteria and use. If not, it's a
16 violation of the plan.

17 The air velocity across the longwall is stated
18 to be 490 feet per minute. Now the reason that we
19 require that the velocity be put in there is because the
20 velocity, like I said at the beginning, when you allow
21 the use of PAPRs, the protection factor is associated to
22 velocity of air going across that longwall face. The 490
23 feet, the formula for determining the protection factor
24 is the quantity of 2 times the quantity of air of 800
25 divided by the quantity that's actually on the section.

1 So in this case it would be 2 times the quantity of 800
2 divided by 490. That works out to a protection factor of
3 3.2. Remember, I said the protection factors have to be
4 between -- the minimum is 2 with a maximum of 4.

5 In addition to having a protection factor, the
6 plan has to maintain all engineering controls that were
7 determined to be feasible by MSHA. So just because we're
8 going to a PAPR protection program, doesn't say that MSHA
9 is going to cut back number of sprays. That you can cut
10 down on the velocity of air or the quantity of air being
11 delivered, any of that. Whatever was worked on, up until
12 the point where we make that determination of feasible
13 controls being exhausted, all those controls must be
14 maintained and in place on that section from that point
15 forward.

16 The equivalent concentration on this particular
17 section that we look at to see how this would work. The
18 equivalent concentration of 2 milligram result up here
19 inside the helmet with the 0.62 milligrams. We've taken
20 the quantity of 2, dividing it by the protection factor
21 of 3.2, so the concentration of the outside air is 2
22 milligram while the person wearing the helmet would be
23 exposed to would be the equivalent of 0.62 milligrams.
24 That completes the overview.

25 MR. NICHOLS: Okay, Bob, thanks. Frank Hearl

1 would now like to make a statement. And then, Frank is
2 going to give us an update on the status of the
3 development of the personal dust monitors that Bob talked
4 about.

5 MR. HEARL: Thank you, Marvin. Good morning,
6 the National Institution of Occupational and Health,
7 NIOSH, joins MSHA at the table today to hear your
8 comments on the proposed rules for single, full shift
9 measurement of respirable coal mine dust. The proposed
10 rule amends Title 30, Section 72.500 that the Secretary
11 may use a single, full shift measurement to determine the
12 average concentration on a shift. The Mine Act made this
13 provision a joint action by NIOSH and MSHA which is why
14 I'm here today.

15 I also want to provide you with an update on
16 some research related to the personal continuous dust
17 monitors that have been under development by NIOSH and a
18 private firm, Rupert & Potoshik Company. The units, I
19 have some photographs of them here. They operate on the
20 basis of something called "the taper element oscillating
21 microbalancer" or TEOM. What that really means is that
22 there is an element inside that's vibrating. And the
23 frequency that it's vibrating, the rate that it's
24 vibrating depends on how much dust is added at the end of
25 that taper element.

1 The more dust that lands it changes the
2 vibration frequency and you can measure that and relate
3 that to the amount of dust that's been sampled. What
4 this means is you can make a measurement on a continuous
5 basis of what the dust levels are, where the sampler is
6 operating and those results are recorded automatically
7 inside the instrument. It's got computer works inside
8 that records that dust concentration and can give you a
9 read out on what the dust are, what the dust levels will
10 be at the end of the shift where they to continue at that
11 rate on a cumulative basis.

12 The current studies have been completed in the
13 laboratory and have shown that the instrument performs
14 with acceptable accuracy when compared to the existing
15 cyclone dust measurement that you currently use in the
16 mines. The unit has been integrated into the cap lamp.
17 I'll show pictures and have them available to you to take
18 a look at during the break, but it's actually integrated
19 with the cap lamp, and the sampling cap is off the cap
20 lamp. Dust comes along in and gets measured by the
21 device that's worn and integrated with the cap lamp
22 battery so there's no extra piece of equipment that needs
23 to be worn. Here's a couple more closeup shots of the
24 belt worn unit. As I said, the pictures are here and you
25 can examine them later.

1 So the current status on the research is that
2 the we've completed the laboratory testings. And
3 beginning this month, we're going to begin doing some
4 field testing with the unit. We expect the field testing
5 to be completed by August. What happens after that is a
6 matter for -- depending on the results of the field
7 testing. If they're successful, the unit could go on to
8 commercialization. That would be done by the private
9 sector. NIOSH is not in the business of commercializing
10 units.

11 We are hopeful, because of the successful
12 results in the lab, that the units will be perform well
13 underground. But that's yet to be determined. And
14 that's the current status of where we are with the
15 personal continuous dust monitors.

16 MR. NICHOLS: Okay, thank, Frank. This is the
17 third public hearing we've had. Last week, we were in
18 Washington, Pennsylvania and Charleston, West Virginia.
19 There seems to be some misunderstanding of what these
20 rules are intended to accomplished. I would like to just
21 tell you briefly what the rules are intended to
22 accomplish, what they say and what they do not do.

23 As you've seen in our presentation, the rules
24 eliminate the sample averaging where you can have two
25 samples over 300 and call that compliance. They improve

1 dust control plans to more reflect the mining conditions.

2 As Bob showed you, you can be producing as low as 60
3 percent under today's rule and we sample and call that
4 compliance.

5 They protect miners when all engineering
6 controls have been exhausted by allowing supplemental
7 controls on a temporary basis. They allow for the
8 incorporation of new technology as Frank just
9 demonstrated the new personal dust monitors.

10 What these rules do not do is raise the 2
11 milligram standard. What they do not do is eliminate the
12 primacy of engineering controls. That stays in place.
13 Bob talked about the quartz content, how we analyze that
14 and reduce the standard. Not only does it not raise the
15 2 milligram standard, 44 percent of all underground mines
16 today are operating on a reduced standard. That means
17 less than 2 milligrams. That's going to stay in place.
18 In fact, it's going to be improved.

19 We currently established that lower limit by
20 averaging MSHA samples and operator samples. These
21 proposed rules eliminate that. MSHA will take all the
22 quartz samples to establish these lower limits. So
23 that's what they do and do not do. If that's not fully
24 understood, then we need to receive your comments on how
25 to further clarify these rules to make it clearer.

1 Here's how we're going to proceed. We're going
2 to take a 15-minute break and when we come back, we'll
3 start receiving comments. What we've done at the last
4 two hearings is work straight through lunch because we
5 have around 30 people signed up to give comments. Now I
6 believe all but one person is UMWA, Joe. So if you guys
7 don't want to work straight through lunch, let me know
8 and we'll take a break. But if I don't hear from you,
9 that's the way we'll operate. So let's take a 15-minute
10 break and come back at 9:30.

11 (Whereupon, a short recess was taken.)

12 MR. NICHOLS: Our first presenter will be Joe
13 Main with the United Mine Workers. When you guys come
14 up, would you please spell your name for the court
15 reporter?

16 MR. MAIN: My name is Joe Main, M-A-I-N. I
17 represent coal miners and I'm the administrator of Health
18 & Safety for the United Mine Workers of America. You
19 know, I found myself in a spot at the beginning of all
20 three of these hearings. The third one today of having
21 to start with explaining the rule as we see it because
22 there is a great difference here with the presentations
23 that we have received from MSHA and both the information
24 we've provided prior to the hearing from MSHA and our
25 observation of this rule tells us it does.

1 I can guarantee you one thing. You guys can say
2 what you what want to. That same dust sampler is on the
3 miner today, an example, on that longwall that says 2
4 milligrams max stand at the same spot, taking the same
5 kind of dust sample under this rule taking you up 8
6 milligram of dust. That didn't come from Joe Main. That
7 came from MSHA in the first briefing that we had.

8 We've validated, through questions I think it
9 was on the first hearing on Tuesday that in those
10 situations for mine operators what would be on that upper
11 limit of 8 milligram that the level can reach 9.33, which
12 I think is a matter of record now from MSHA, where MSHA
13 would actually cite a violation where that standard would
14 be in place.

15 Now this is where they cut to the chase here as
16 to what the differences is. MSHA claims that the 2
17 milligram standard is not reduced in this rule. And when
18 you read the rule, that's what the normal person would
19 think. That's what I thought when I first read it until
20 I understood what the formulas was that you guys
21 explained to, which is beyond the comprehension of the
22 average person. You have to sit down and walk through a
23 number of complicated formulas to even figure out what
24 this rule does.

25 And not only what the standard is, 2 or 8, but

1 it's a number of formulas and definitions that are
2 confusing and complex that will cause, in our opinion,
3 total chaos in the coal fields if this rule ever goes
4 into effect because it's just that complicated.

5 In simple terms, the Mine Act says now that in
6 the mine environment of active workings you can't exceed
7 2 milligrams. It's straightforward. Congress put that
8 in there in '69, so that within three years every
9 operator has to do that. They have to get to that level.
10 Through some of the craftiness of this rule, what the
11 rule tries to say at some face value is that, yeah,
12 that's the standard. But what the rule does in actuality
13 is it changes that. It does allow the dust levels in the
14 mine environment in active workings to go up 8
15 milligrams. It would be legal by the presentations that
16 we've received from MSHA. And I think people need to
17 understand that.

18 As we've pointed out, and tried to get through
19 this debate in the first hearing, what MSHA tells us is
20 to trust us. We're not going to do that. We're not
21 going to let that get up to that level. Currently, we
22 have bar under the law that says you can't let it get up
23 there. That they have to maintain that 2 milligram level
24 in the mine environment of active workings. And it also
25 says you cannot use respirators for the purpose of

1 replacing any air controls to allow that dust level to
2 rise. Legally, you can't do it.

3 Now that provision as well is effected by this
4 rule. And by some crafty language here there's little
5 loop holes that can get around that. Because the truth
6 of the matter is today they can't do it. It is not legal
7 to do it. Under this proposal, it will be legal to do
8 it. You got to ask the question, if it's not legal
9 today, if it is tomorrow, when are guys going to make it
10 legal? How does that whole thing work?

11 And as I've pointed out, based on your
12 explanation of those formulas, in those margins of errors
13 and factors there's an opportunity for mine operators to
14 submit plans to you guys claiming we've exhausting
15 engineering controls that they get you to agree to it, to
16 a policy decision. They can increase those dust levels.

17 It could be 2.5. It could be 3. It could be 4. It
18 could be 6. It could be 7. It could be 8. I mean, with
19 elaboration here, those dust level -- MSHA, I think it's
20 only fair that there's an honest presentation to the coal
21 miners. They need to know that. They don't need to
22 believe that there's going to be a 2 milligram standard
23 showing up on that dust sampler as the max that can show
24 up on that when they take those samples. That's just not
25 true in this rule. And if what I said is wrong, correct

1 me.

2 MR. NICHOLS: Well, I think we seem to be making
3 the leap right to these have happened. I mean, if we
4 haven't made it clear in the rule, we need some help on
5 it. That what's going to happen first before any
6 consideration of supplemental controls is a thorough
7 review and requirement that all engineering controls be
8 maintained and be applied. We're only going to get to a
9 discussion of PAPRs when that's done.

10 And preceding the consideration of supplemental
11 controls is going to be the best thinking of this agency
12 based on our experience, the most help we can get from
13 tech support, from the miners, from NIOSH and there's
14 going to be no consideration of supplemental until that
15 process is completed, Joe. Now there seems to be no
16 understanding of that.

17 MR. MAIN: No, but I think the difference is
18 here, Marvin, what happening here is that the guarantee
19 that the miners have you can't do that now. It's illegal
20 for you to what you're proposing to do in this rule. Now
21 that's a starting point. You're placing those legal
22 barriers that Congress gave these miners in 1969 with a
23 policy determination to be made by the agency. Trust me.
24 We won't do that. That's what it gets down to. And the
25 question people have to ask is, you know, in terms of how

1 they think this will apply. Can they really trust this
2 agency to be stiff-backed when that operator says, gee,
3 I've exhausted my controls here. There's nothing more I
4 can do. An operator can decide not to put an air down
5 because there's a whole lot of complications here in this
6 rule that really bother us that you're setting the stage
7 to have this within a short period of time as a box that
8 MSHA puts itself in and puts the miners in big time.

9 We have, as we've said in previous hearings,
10 case after case where operators have said, gee, we've
11 exhausted our engineering controls. Only then for us to
12 show them that they haven't. Had those not been union
13 mines, I'd be scared to death to figure out what may have
14 happened in this room because it was a hard cast in some
15 of those situations to get a mine operator to do what
16 other mine operators in this country was doing to protect
17 their miners.

18 The bottom line is this, that under that rule,
19 you can approve a plan to allow the mine operators to up
20 to 8 milligrams. This rule will allow you to do that,
21 yes or no? Just yes or no, Marvin. Does it allow you to
22 raise it up to 8 milligrams?

23 MR. NICHOLS: It allows you to deal with what's
24 over 2 milligrams after you've exhausted engineering
25 controls, yes.

1 MR. MAIN: Does it allow you to raise this up to
2 8 milligrams. Whether you say you're going to do it or
3 not, that's a side question, does this rule allow the
4 dust level to be increased to 8 milligrams in the mine
5 environment active workings. The answer is yes. You
6 told us that in hearings and you've told us that
7 privately. So, I'll answer it for you. Does it allow
8 the dust level to go, in those circumstances, if those
9 would be approved by MSHA at 8 milligrams, 9.33, before
10 the operator would be cited for a violation? Does it
11 allow that to happen? Box Thaxton said very
12 unequivocally last Tuesday at the hearing, yes, okay.

13 And I think in all fairness to the coal miners
14 they need to understand what this is and understand that
15 we are replacing a barrier here with a trust me, the
16 government.

17 MR. NICHOLS: But in all fairness to the coal
18 miners, they have to understand that this agency is not
19 abandoning the engineering controls.

20 MR. MAIN: What Marvin Nichols says today is not
21 what the agency may well think about how they apply this
22 rule three or four years from now. I just want to roll
23 back to the 2000 hearing. You said something at the
24 beginning of the hearing here that I think was pretty
25 straightforward. In 2000, the proposed rules, those

1 things were soundly rejected by both labor and the
2 industry. They told you go back to the drawing board and
3 come out with proposals that really met the needs of
4 fixing this problem.

5 And one of the things in this proposal was a
6 plan to allow these PAPRs to be used on longwalls only
7 and allow the dust level to go up to a factor which
8 equated to a milligram. And miners across this country
9 said we want no part of that. We do not want this law
10 changed. We want those protections in the law of 2
11 milligrams and of barring respirators to stay in place.

12 But a proposal comes back, Marvin, and I'm just
13 stating the case just like it is. We have a proposal now
14 that says, gee, we're not just going to allow longwalls.

15 We're going to allow all mining sections to possibly
16 have this standard. And we're not going to do 4
17 milligrams. We're going to raise it up, based on the
18 factors that was put in this rule, up to 8 milligrams.
19 Now that's what you've told the miners, okay, in this
20 rule.

21 First off, I'm here to tell you, (A) you didn't
22 listen to what miners had to say, and maybe that's chose.

23 I mean, we're pretty well are getting to understand that
24 now. No matter how loud or how hard we try to make this
25 case out, miners want no part of that. And I think

1 you're going hear that from here to the rest of the
2 hearings. We've come out of two hearings in the East
3 where I think you got a pretty clear message about that
4 as well. You stay wedded in that proposal and it does
5 violate the law. It gets around the 2 milligram
6 standard. It allows over 2 milligrams. It is barred
7 from exceeding that level in a mine environment in active
8 workings to be exceeded. You know, to us, it's just
9 dust, it's that simple and it's the wrong thing to do.

10 There's a number of things in that proposal that
11 we believe that you didn't listen to whenever you went
12 back to the drawing board. But going back to this 2
13 milligram or 8 milligram issues, I believe that maybe one
14 of the witnesses in Charleston raised a point where MSHA
15 promised, and I shouldn't say promised. Had told the
16 miners, look, we never figuring on applying this
17 respiratory standard to any more than the longwall
18 fellows during the hearing from the agency. And now we
19 come back with a proposal that, that's exactly what the
20 agency said they wouldn't do, Marvin. I mean, those are
21 things that we make our determinations here about can you
22 trust this government. You know, I don't think that the
23 folks that heard that in 2000 have a lot of confidence in
24 you guys saying we're going to hold the line and not
25 approve those dust levels up that far. I don't think you

1 have credibility there. I really don't.

2 MR. NICHOLS: But it comes back with a new
3 concept, Joe, that in situations where miners that
4 encounter unexpected conditions like mining through rock
5 seams that there's a time there where they may not be
6 protected by the engineering controls that are in place.

7 It would allow for the use of supplemental controls
8 while they're mining through those rocks.

9 MR. MAIN: So you're saying this doesn't apply
10 to continuous working sections?

11 MR. NICHOLS: Not under normal conditions it
12 wouldn't.

13 MR. MAIN: No, get my point here. Miners railed
14 against that proposal last time, which only permitted
15 MSHA to approve PAPRs on longwalls. The agency tried to
16 lay out this case. Trust us fellows. We'll never do
17 more than these longwalls. That's the only place that's
18 really got this problem. Now correct me if I'm wrong, as
19 I read this rule, they can get PAPRs on continuous mining
20 sections. Am I incorrect about that.

21 MR. NICHOLS: Well, you're not incorrect about
22 it. If they encounter the unusual mining conditions.

23 MR. MAIN: The provision that was limited to
24 just strictly longwalls was taken out of this proposal
25 compared to the 2000.

1 MR. NICHOLS: The new rules were expanded to
2 include unusual mining conditions.

3 MR. MAIN: And it took the word "longwall" out
4 of the section that dealt with MMU, which admits MMUs.
5 Am I wrong about that, Bob? I asked this question trying
6 to figure out what this thing did. I was informed that
7 it applied to MMUs now, not longwalls.

8 MR. NICHOLS: Have I correctly stated what the
9 rule does?

10 MR. THAXTON: Yes.

11 MR. MAIN: Have I correctly stated what the rule
12 does, Bob?

13 MR. NICHOLS: What you're stating is what the
14 2000 proposal cited.

15 MR. MAIN: I'm saying what the 2003 rule says.

16 MR. NICHOLS: No, if your question is, have we
17 expanded the 2000 rule from dealing only with longwalls
18 to including other areas where you encounter unexpected
19 mining conditions the answer is yes.

20 MR. MAIN: And the question I specifically
21 asked, before you get to that one section, Marvin, and
22 the section deals with the straight up MMUs and using
23 PAPRs on MMUs. The 2003 rule was explicit only to
24 longwalls, is that not correct?

25 MR. NICHOLS: You just said the 2003 rule. It's

1 not the 2003 rule. The 2000 rule was applicable only to
2 longwalls.

3 MR. MAIN: Now as I read this proposed rule, and
4 this thing is so confusing, if I've got it wrong, Bob,
5 correct me here. As I read this rule, it just talks
6 about MMUs now generically, not explicitly to longwalls,
7 am I correct about that?

8 MR. THAXTON: That's correct. As Marvin stated,
9 it's because --

10 MR. NICHOLS: But not without explanation.

11 MR. MAIN: Well, see here's the reality of the
12 way this rule reads, okay. If what I'm saying is wrong,
13 correct me fellows because the one thing I want to do is
14 get the facts out to the coal miners. And I think that
15 there has enough information out there that has not laid
16 this picture out about what the mine operator about get
17 into. They really have a right to know what this rule
18 do. As we read this rule, a mine operator can apply for
19 any PAPR program off of a continuous mining section the
20 same as they can off of a longwall section in the past
21 proposal. That's the way we read the rule.

22 MR. NICHOLS: Yes, that's what's missing in the
23 discussion. They can after they've exhausted all
24 engineering control.

25 MR. MAIN: The only thing I'm trying to say

1 here, Marvin, is that there was a commitment made, as I
2 understand from miners during these hearings, that we
3 would like to stay in this field of longwalls. And it
4 was limited in 2000 only to longwalls and now that's
5 changed. The way we read the rule it doesn't distinguish
6 you have to do anything different from a longwall as far
7 as a continuous mining section. It's generic the way
8 that standard was wrote when it talks about MMUs.

9 I mean, if you guys want to clarify it. I mean,
10 that's the way we read the rule is an MMU you apply for a
11 PAPR program.

12 MR. NICHOLS: But only, Joe, for good reasons.

13 MR. MAIN: Forget the reason.

14 MR. NICHOLS: No, you can't forget the reasons.

15 MR. MAIN: No, I'm saying forget that for this
16 argument. What I'm trying to do is, piece by piece, but
17 this together because I think you've established on the
18 front side that the law now prohibits you from doing
19 that. That there's a provision in the rule here that
20 allows you to approve a plan that operator submits a plan
21 here to use PAPRs claiming that they have exhausted all
22 feasible engineering controls and you guys could agree
23 with that, okay. They could use PAPRs and increase the
24 dust levels under this proposal.

25 MR. NICHOLS: On a temporary basis if they've

1 encountered unusual mining conditions.

2 MR. MAIN: Are you talking about the second
3 proposal or are you talking about the proposal using
4 PAPRs? There are two different standards in this rule
5 that deal with PAPER approval. One of them is dealing
6 with approval of PAPRs in special circumstances. That's
7 completely different. Are you talking about one or the
8 other?

9 MR. THAXTON: I'm talking about special
10 circumstances.

11 MR. MAIN: I'm talking about the new rule.
12 That's what I'm trying to say. I haven't gotten to the
13 special circumstances yet. But just to walk through
14 here, the clarification that I think is needed is this
15 rule does, in fact, allow the dust level to increase if
16 you guys approve them. If you guys agree with the
17 operators that they've exhausted their engineering
18 controls and they can use PAPRs.

19 Over the past hearings and over the hearings in
20 2000, there was a wealth of information put on the record
21 for these very PAPRs, as you say, was approved, which is
22 the airstream, and I think made by the 3-M Corporation,
23 has found to be faulty in their use in coal mines for a
24 variety of reasons.

25 Testimony of both miners and representatives of

1 the industry has laid that case out, I think, fairly
2 clearly. And what we're about ready to do is take those
3 PAPRs, use that as the means to allow the operators to
4 jack up the dust. And when you approve it, that's the
5 pull that they have.

6 And we know that historically that those things
7 don't get the job done. And we've been struggling the
8 work at 2 milligrams. Now we're getting ready to put
9 these leaky, faulty respirators as an alternative means
10 to improve for operators in these high dust environments.

11 It's outrageous. That's what the simple result of all
12 this is and that's what the miners want to know.

13 Another issue that's a bit controversial -- I
14 just read an article from the head of MSHA. Who's the
15 one that's going to sign off on these rules, which scares
16 the heck out of me, doesn't seem understand the union's
17 position on the MSHA take over of the respirable dust
18 sampling program. And I'm just going to read this into
19 the record because I presume it was a statement of Dave
20 Lauriski. It's attributed to him.

21 "For years, the United Mine Workers afforded
22 MSHA to exercise primacy in sampling dust inside the
23 underground coal mines an agency official said Thursday.

24 Now that MSHA is moving to do just that in one of the
25 two proposed rule changes, the union has reverted itself.

1 Assistant Labor Secretary and MSHA Director David
2 Lauriski said." That's not true and there's a big
3 misunderstanding here about what's going on.

4 The fact of the matter is the union has
5 supported a federal take over of the operator-controlled
6 compliance dust sampling program. But what the union has
7 told this agency time and time again, we want a take over
8 that's effective. And we have called for expanding on
9 the frequency and number of samples that have been taking
10 place with both the operator and MSHA. It's pretty clear
11 on the record of 2000.

12 And if anybody missed it, I'll be happy to go
13 pull that out and provide it to the agency. But at that
14 time there was a total of 36 shifts being sampled in the
15 nation's coal mines that we said was far too infrequent.

16 That any take over needed to accomplish those and have
17 increased frequency.

18 The union supported the Federal Advisory
19 Committee finding and I want to read that because it's
20 part of the provision you guys have here the craft issue
21 was from. It's Recommendation No. 16(C) of the Federal
22 Advisory Committee, which was appointed by the Secretary
23 of Labor to craft -- I served on that committee. We were
24 given the responsibility to craft standards to reform
25 this broken dust sampling program.

1 And here's what Recommendation No. 16(C) says,
2 "The committee considers it a high priority that MSHA
3 take full responsibility of all compliance sampling at a
4 level which assures representative samples of respirable
5 dust exposures under usual conditions of work. In this
6 regard, MSHA should explore all means to secure adequate
7 resources to achieve this end without adverse impact on
8 the remainder of the agency's resources and
9 responsibilities. Compliance sampling should be carried
10 out at a number and frequency at least a level currently
11 required of operators and MSHA." When we wrote that
12 there were 36 samples being taken, okay.

13 We have stood solidly behind this for years.
14 And I was a little mystified as to how the head of MSHA
15 is not ready to write this rule, implying our position
16 has changed. What we have said is we think the proposal
17 that you launched in 2003 failed to meet that test.
18 There are too infrequent of sampling taking place in the
19 nation's mines. And that was not only our position, that
20 was the position held by many others. And we saw in the
21 2003 proposal, and when we told the agency come back with
22 more frequent sampling, we have a proposal before us, and
23 let's tell the truth out there what it does. The
24 requirements have been stripped from the regulations.

25 There are no mandatory requirements for

1 compliance sampling, other than the part-time miners in
2 that rule. The section sampling is gone. The outlying
3 sampling is gone. And it's replaced with a "trust me"
4 policy of the agency where you claim that you plan to do
5 specific compliance samples for outlay areas of the coal
6 mines. That's goes to one shift sample a year by your
7 plans.

8 And on sections, as little as three shift
9 samples on a mining section in some mines in this
10 country. That's outrageous. We never supported that.
11 Nowhere will you find the union or miners supporting that
12 kind of infrequent sampling. I think it's wrong for the
13 agency, particularly, the guy who's signing off on these
14 rules, to say that. And I would urge that you go back
15 and clarify the record to the assistant secretary that
16 his statement is dead wrong.

17 He also claims that we're not changing this law,
18 this 2 milligram standard. That we're still going to
19 have this 2 milligram standard. But what he isn't
20 telling the public is, by these formulas and these
21 gimmicks we're putting in the standard, we are actually
22 going to let those mine operators increase that dust in
23 those active workings of the mine environments up to 8
24 milligrams. That's what this rule does. Now I'll debate
25 him if he wants to any day of the week, but this is what

1 the rule that you guys put out does. There are
2 statements in here that's incorrect.

3 This rule reverses the standard implemented by
4 Congress in 1969 that said operators within three years
5 you're going to be down to 2 milligrams and that's where
6 you'll stay. You're going to do it by
7 environmental/engineering controls and you're not
8 respirators to replace that. The rule is very clear.
9 Those laws are changed to fit to allow the escape hatch
10 to allow the dust levels go up and use respirators under
11 this proposal to 8 milligrams. That's straightforward.

12 And I think this is what's wrong with the
13 explanation to this rule. Let's tell it like it is. And
14 I think when miners find out the truth, you're going to
15 sample one location, one shift outlay in a year's time.
16 I mean, that's outrageous. Does anybody believe that we
17 can based the health of our miners in this country who
18 tens of thousands have died from Black Lung on one lousy
19 sample of a shift of a coal mine in a year. That's
20 outrageous and we are outraged by that and by the tones
21 of, gee, you can't understand where we're at. And three
22 shifts a year being sampled for compliance on sections.
23 That's outrageous. Six shifts a year is outrageous.

24 JERRY CROSS: Thank you. My name is Jerry
25 Cross, C-R-O-S-S. I'm regional director for United Mine

1 Workers in the Midwest. I actually haven't worked in a
2 coal mine in several years, but I have negotiated
3 contracts, and I was mayor of a town for about 12 years.

4 So I think of myself as fairly intelligent until Marvin
5 stated how easy these rules and regulations were because
6 I've read them, and I've listened to your explanation
7 this morning, and I still don't have a clue what they
8 say. You know, I've heard what you said up here. I
9 heard what Mr. Maien has said. And to be quite truthful,
10 I'm not sure what they are.

11 So surely the rules weren't written, in my
12 opinion, for the everyday coal miner, or actually the
13 representatives that are here today. To me, they was
14 more written for you people from MSHA and the experts
15 that are here. So, you know, I'm a little -- I don't
16 understand. It was my understanding that through the
17 Federal Advisory Committee and NIOSH about 2000 that Joe
18 Maien talked about, it was your recommendation to
19 actually lower the dust levels and increase the samples.

20 And to me, what I can pick out of these rules is that it
21 goes just opposite. It raises the dust standards and
22 lowers the level.

23 And if I can ask some questions -- I'm concerned
24 -- Dennis Boehm asked you a question -- and I think,
25 actually, Bob, you answered the question -- in regards to

1 what is feasible in regards to when they raise the level
2 and how that's going to be determined. How do you do
3 that, though, if you're only going to be taking a sample
4 once a year?

5 MR. THAXTON: I don't know where you mean taking
6 a sample once a year.

7 MR. CROSS: Well, you're only doing sampling one
8 time a year, correct?

9 MR. THAXTON: No, that's not correct. The plan
10 verification process -- the operator has to collect
11 multiple samples on a shift.

12 MR. CROSS: Right.

13 MR. THAXTON: And he'll keep sampling under plan
14 verification until he can verify the plan. That could be
15 four or five samples on the initial round by itself.

16 MR. CROSS: Right.

17 MR. THAXTON: Because if he's unable to meet 2
18 milligrams, he's going to have at least five samples to
19 show that. If he fails to verify with all feasible
20 controls, there is going to be several rounds of samples
21 collected by the mine operators. So this is going to be
22 two, maybe three rounds of verification that they'll
23 attempt to go through and be able to show that they're
24 not meeting the standard before we would actually say
25 you've exhausted all feasible controls.

1 At the same token, if there is a request for the
2 agency to say that you've exhausted all feasible
3 engineering controls, the agency would have the option --
4 and probably will exercise that most strenuously -- that
5 we could come in and also evaluate through our sampling
6 what is going on and what the levels are in relation to
7 the controls that are in place. So it's not going to be
8 based on one sample to say that you've exhausted all
9 feasible engineering controls and are therefore able to
10 submit either a -- and it's not strictly a PAPR program
11 or respirator program.

12 They have the option of either using PAPRs or
13 administrative controls because we do recognize that
14 there are mining operations where you can't use a PAPR
15 protection program.

16 MR. REYNOLDS: Can I interject? I just wanted
17 to say that -- this is Larry Reynolds -- in the document
18 that you've got on page 1081-A -- and we've had this
19 question several times -- there is a very long discussion
20 of how this process would take place. And it begins with
21 the request going to the administrator for coal mine
22 safety and health. It has to be initiated at that level
23 by the operator. And at that point, there would be a
24 panel appointed to take a look at that operation to see
25 if in fact all feasible engineering controls are

1 implemented before we would even go into consideration of
2 the use of supplementary controls. And if you want to
3 take a look at that, it's in the documents that are
4 available from Pam. If you look in on page 1081-A, there
5 is a long description of that.

6 And also, in answer to the earlier question from
7 Mr. Boehm, there is also a description in there of what
8 we mean by feasibility and how the agency would determine
9 if all feasible engineering controls have been
10 implemented. And that's on page 10804, and there is a
11 description for that as well. I understand that for a
12 lot of you the preamble material is very, very -- it's
13 intimidating to look at. But there is a table of
14 contents at the beginning, and we're required under the
15 rulemaking process to explain everything that we're doing
16 here. And if you look at the table of contents, it will
17 lead you to the section that answers this question. And
18 also, there is a section that goes into all of the
19 details about use of continuous monitors as well that we
20 discussed earlier.

21 MR. THAXTON: Thank you.

22 MR. CROSS: Just a couple of more questions and
23 then a final comment. I think it has been stated before,
24 too. We're just concerned why this is moving so fast
25 right now, why it has to be -- it seems like it is being

1 shoved down our throats at this point in time. Is there
2 a reason for that?

3 MR. THAXTON: We don't consider this being moved
4 fast. Like Marvin says, this has been worked on since
5 the early '90s. The proposal that came out in 2000 then
6 has been followed. This is a re-proposal. It's not a
7 rehash of the 2000 proposal. It's a re-proposal. It was
8 on the great agenda for the agency for the last year and
9 a half. Definitely, it was being worked on. This is
10 something that has been written on for over a year now to
11 prepare what is ready at this point.

12 So it's not something that's, you know, just
13 written in a matter of a month or a week or something and
14 then said throw it out there for people. These proposals
15 were published March 6th of this year. So they have been
16 out 60 days before we started the first public hearing.
17 They've extended the comment period now to where it goes
18 through July the 3rd, so you'll have 120 days now on this
19 particular rule while it has been published for looking
20 at it, commenting, and submitting those comments to the
21 agency.

22 MR. CROSS: How do you -- Mr. Sweeten spoke
23 earlier also, and he talked about the problems with the
24 airstream helmets, about them fogging up and the people
25 talking them off because -- you know, I've been told that

1 especially on repairmen that try to work on anything
2 underneath the shields, it's almost impossible to use
3 those. What does the agency plan on doing in that
4 regard?

5 MR. THAXTON: If you recall on the presentation
6 that I gave, we said that the use of respirators had to
7 be spelled out as a respiratory protection program that
8 was going to be included in the approved plan. So based
9 on that, they have to spell out exactly how they're going
10 to do that.

11 The respiratory protection program says how the
12 units are to be maintained, who is responsible for
13 maintaining them, a central person at the operation
14 that's in charge of the program, that they have to be
15 maintained as approved. So using filters that aren't
16 permissible or not changing filters, not checking the
17 units, switching the units between two different people
18 without them being cleaned and disinfected between use,
19 that all is covered is in the respiratory protection
20 program and has to be followed because it becomes part of
21 the approved plan for that mine at that point.

22 MR. REYNOLDS: This is Larry Reynolds. I was
23 going to say I notice some of you are leafing through the
24 documents. But if you look on page 10863, it gives you
25 very specific examples of what an operator would be

1 expected to do and what they would be required to put in
2 their ventilation plan if they were approved -- if they
3 did receive approval to use PAPRs. And it's very
4 detailed, and it addresses a lot of the concerns that Mr.
5 Sweeten had about the maintenance, the proper use, the
6 sanitary conditions -- all of those would be requirements
7 before the operator could implement this plan.

8 That's also discussed on 10863 and 10864, if you
9 want to take a look at what MSHA would expect from the
10 operator if they were -- if they should get approval to
11 use PAPRs.

12 MR. THAXTON: Just to follow up on sort of where
13 you said that you thought that these rules were being
14 shoved down your throats in a quick fashion. You know,
15 that's why we're out here doing these hearings and why we
16 extended the comment period. It's that we are interested
17 in hearing from you. We want to know what the people
18 that actually are going to be affected by the rules, what
19 you think of them, and where we can make changes and
20 where we can possibly make improvements.

21 We are here to listen to what the comments are.

22 And that's why we ask questions in the way that we do in
23 the rule when we talked about the use of the personal
24 continuous dust monitors. Yes, we have written up how we
25 think that they can be used because at the time these

1 rules were being written, there is no unit out there.
2 There is no way for us to say this is what everybody has
3 to require because we don't have those units available
4 yet.

5 However, we do put in there several questions,
6 and it's like a page of questions, that say, you know,
7 how do you see it being used, how do you think it should
8 be used? Should it be increased? Should it be used a
9 different way? Because we do want to hear from you as to
10 what you think of it in relation to each item on this
11 particular rule.

12 MR. CROSS: We do agree that the continuous
13 monitoring is the best way to go, and we hope to see that
14 in the future. Just a couple of closing comments. I
15 appreciate the opportunity to speak today. I don't know
16 if any of you have had the opportunity to deal with
17 someone that has Black Lung and has expired from that
18 disease. It's a very terrible disease. They wind up
19 basically drowning. In one of the jobs I've had with
20 mine workers, I spent eight years dealing with those
21 people. And it's terrible, and it is something that has
22 to be corrected.

23 These people give their lives to generate the
24 electricity in this country, and it's something that we
25 have to work forward to and get done.

1 You know, in closing -- and I don't mean any
2 disrespect in what I'm about to say, but the analogy I
3 see here today -- you know, I hear what Mr. Nichols is
4 saying, and I hear what we're saying. And it kind of
5 reminds of the war in Iraq. Baghdad Bob, remember him?
6 He was the guy, the minister, who was out there all the
7 time saying that, you know, nothing is going on. We're
8 going to win this war. At the end, he was even saying,
9 you know, the American troops aren't even in Baghdad.
10 And I don't know if you've seen anything or not. They've
11 got a thing on the Internet where it shows an American
12 troop dancing behind him.

13 I kind of feel that this is the same way.
14 You're telling us all this stuff, but we just don't
15 believe what you're telling us. We think there is
16 something else behind it. And that's just our feelings.

17 So I appreciate the opportunity to give you my
18 comments. Thank you.

19 MR. THAXTON: Thank you.

20 (Applause)

21 MR. THAXTON: Craig Smith.

22 MR. SMITH: My name is Craig Smith. That's
23 C-R-A-I-G, S-M-I-T-H. And I'm with Local 1613, and I'm a
24 safety committee person.

25 I read through the proposal. I did not study it

1 because I didn't understand it. It was confusing to me.

2 But one thing I got out of it is it's not in my favor or
3 miners' favor. And it needs to be written in simpler
4 form with no loopholes, more or less in plain English.

5 Letting companies do their own dust sampling
6 isn't in miners' interest. And the way to protect miners
7 is keeping dust levels -- I think at 1.5 would be a lot
8 better than 2.0, or going to 1.0, as we are with the Part
9 90 miners, what they're allowed.

10 On the panel, how many people has worked in a
11 coal mine, this panel?

12 MR. THAXTON: Marvin Nichols worked in mining
13 before he became part of the agency. The balance of the
14 committee or the panel that you see up here -- and this
15 panel isn't the committee that worked on the rule. This
16 is the panel that's conducting the hearings. But of the
17 -- I mean, several of us up here have over 25 years with
18 the agency dealing with miners' health and safety.

19 MALE SPEAKER: We can't hear you. We can't hear
20 you.

21 MR. THAXTON: There are several of us on the
22 panel that -- Marvin, George Niewiadomski, and myself --
23 all three of us have over 25 years of working strictly
24 with miners' health and safety within the agency.

25 MR. SMITH: Well, I've been in a coal mine for

1 29 years. And just as an example, if this room
2 atmosphere was 2.0, how long do you think this tablecloth
3 would stay white?

4 MR. THAXTON: You're asking?

5 MR. SMITH: Yes.

6 MR. THAXTON: It's impossible to say because of
7 the type of air movement in here. If this was 2
8 milligrams, realizing respirable dust doesn't settle out
9 -- it behaves as a gas. Generally speaking, respirable
10 dust only falls about 2 centimeters --

11 MR. SMITH: Well, we're talking about --

12 MR. THAXTON: -- in still air, and the air in
13 this room is not still. So you're dealing with an
14 entirely different subject. Realistically, 2 milligrams,
15 you would not expect to see much of anything settle down
16 on the tablecloth in an eight-hour period. You wouldn't
17 see that. When you see dust settling out on the
18 tablecloth, you're probably dealing with something that's
19 greater than respirable dust size.

20 MR. SMITH: All right.

21 MR. THAXTON: As an example, just so you have a
22 better understanding of what we're talking about, if you
23 pulled out one hair of your head and looked at the
24 diameter of it from the end, that hair is 50 microns in
25 diameter, as an average for a human. The dust that we're

1 dealing with is 10 microns or less in diameter. So you
2 can see we're dealing with dust that's much smaller in
3 diameter than the diameter of a human hair if you looked
4 at it from the end.

5 Because of that, that dust does not settle out
6 in moving air. In still air, it falls very slowly, like
7 I said, approximately about 2 centimeters in a given
8 period of time. So it isn't what you see as falling out
9 and collecting on surfaces.

10 MR. SMITH: All right. Well, my experience
11 working 29 years in the mine, I would say 2.0, this
12 tablecloth would be black in eight hours. And if you let
13 it go to 8.0, I think it will only take two hours for
14 this tablecloth to be black. And we don't need to get
15 that level at all.

16 I'd like to see you put these proposal on the
17 back burner and get more input from miners and work with
18 the miners and not against them because I feel you're
19 working against them. Okay?

20 MR. THAXTON: Thank you.

21 (Applause)

22 MR. THAXTON: Gary Mahan. Greg Mahan.

23 MR. MAHAN: My name is Greg Mahan, M-A-H-A-N.
24 I'm from Illinois. Thank you for having me here today.

25 We started with stats on the Black Lung. You

1 said they were going down from I guess years. Does that
2 -- could you tell me, does that have the calculation of
3 people who have applied, how many people who have applied
4 for Black Lung Claims?

5 MR. THAXTON: That data is based upon X-ray
6 analysis of miners in general. It represents
7 approximately 20,000 miners X-rayed by MSHA over the last
8 three years, in addition to approximately 6- or 7,000
9 miners X-rayed through the NIOSH program operated through
10 the mine operators. So a total of about a little less
11 than 30,000 probably miners.

12 MR. MAHAN: How many have been turned down?

13 MR. THAXTON: This has no bearing on Black Claim
14 benefits.

15 MR. MAHAN: No. I just -- I know I don't have
16 -- like I'm saying here is we're sitting here going to
17 raise the dust standards, and we're saying that the Black
18 Lung claims have gone down.

19 MR. THAXTON: No. We're not saying Black Lung
20 claims have gone down. We said the prevalence of Black
21 Lung disease has generally been on a decline. But it has
22 not been much of a decline. It has gone from 4.2 percent
23 in 1981 down to 2.8 percent under the 2002 data. That's
24 not much of a decline. We're saying that that's
25 insufficient. We need to get that down to zero. And so

1 we're saying that there has not been a sufficient decline
2 in the prevalence of disease.

3 MR. MAHAN: And do you think that that's going
4 to happen by raising it to the 8 milligrams?

5 MR. THAXTON: These particular rules we have
6 said as a general -- that are packed together -- will, we
7 believe, result in reduced exposures on each and every
8 shift so that the prevalence of Black Lung will be
9 reduced.

10 MR. MAHAN: All right. What do you consider an
11 unusual condition when it pertains to mining quartz? You
12 know, when you -- it stands to be -- levels could be
13 increased because of unusual conditions?

14 MR. THAXTON: Unusual conditions because of
15 cutting through rock and getting quartz? You're saying
16 that if you're cutting through that material and it's for
17 a short duration, the dust control parameters may be
18 insufficient. So you can ask for additional control
19 measures at that point that would be used for a short
20 period of time. It's better to provide people protection
21 for the short period of time that they'd be exposed to
22 that than to ignore it, as we currently do.

23 The time frame that it would take to get new
24 controls put in place, get those controls verified, and
25 get the plan approved, you would not more than likely be

1 past that situation already and not get any changes in
2 the plan then. So we're saying to address that situation
3 we need to look at some additional controls that would be
4 made available immediately.

5 MR. MAHAN: I'm not saying every day at my mine.
6 But a lot of times -- I'm going to say three out of five
7 days a week -- we cut through rock, falls or whatever.
8 And it's an ongoing situation in my mine almost -- not
9 daily, but quite often. And, I mean, if we say, well, 45
10 days, is there going to be a time limit on this? I
11 mean --

12 MR. THAXTON: There actually are time limits
13 built into the rule.

14 MR. KOGUT: Is your mine -- the section that
15 you're talking about -- are you working under a reduced
16 dust standard?

17 MR. MAHAN: I'd say we've been working on a
18 reduced dust standard for quite some time.

19 MR. KOGUT: What dust standard are you working
20 under?

21 MR. MAHAN: Well, we have a Boyd ventilation
22 system now that Mr. Esslinger, who was down at Lexington
23 last week -- he was the one that helped implement this
24 Boyd system. And since 2000, I know myself I'm out-by,
25 and we get to this and get tested once a year. And you

1 got -- I mean, whoever made this proposal will kill me
2 the last few years. I've got more deaths now than there
3 has ever been at that mine. And the ventilation system
4 at that mine -- and they said those checkers is the last
5 open cross-cut. They had to be tied secure or that was a
6 violation. Not one has been ruled on that.

7 I think there was a couple citations wrote under
8 where the air coming back from the face was going over
9 power boxes. In my opinion, there has not been enough
10 done since 2000, which favored the companies being given
11 an open door on the dust sampling in the first place.

12 You go in my mine, and you go out, and you've
13 got a lot of roads -- an hour after you wandered in.
14 That's how dusty it is, so much air -- I mean, and we get
15 tested once a year. That's a sin. I mean, you know,
16 everybody here that worked in mine ought to bring a
17 little spit card. Doctors give you the test samples
18 because I believe everybody here is going to be sick
19 today one guy would spit up in a day's time, at my mine.

20 I mean, we go through the quartz mines and cut
21 over there to check with the miners. Technology, you
22 know, is changing daily at these mines. But the
23 technology for the protection of miners is decreasing
24 every day. We've seen that when they brought the diesels
25 in the mine. You know, like I said, since 2000. I mean,

1 it's a shame with all of the respirable dust. You know,
2 NIOSH has agreed that what the standards are, they should
3 be lower. And I believe that, you know, instead of going
4 from eight, it will be zero, not raise it to eight. If
5 it says that it can be raised to eight, I guarantee you
6 the agencies will let them be allowed to go increase to
7 eight on a continual basis. If it's in there, it's wrote
8 in there 8 milligrams, I guarantee you it won't -- there
9 will be more times they will be allowed to hit 8
10 milligrams than not.

11 I, as well as every member of the United Mine
12 Workers across the land, are pleading with you to strike
13 these proposals and do what a gentleman from my great
14 state of Illinois intended this agency do and do only
15 what is to protect the miners. John L. Lewis. That is
16 why the organization was put on this earth. He fought
17 for this agency to protect the miners.

18 Like I think Mr. Sweeten said, it's for all
19 miners, union or non-union, all miner company people.
20 And to sit here and let this be allowed is a slap in the
21 face not only to the members before me who fought for
22 these rights, but for my leader, John L. Lewis, years and
23 years ago -- it's a shame that we have to sit here and
24 waste the time of our local and the government for
25 changes that should not be and cannot be and should not

1 be allowed. Congress is the one that can change this,
2 not this committee.

3 I thank you for your time.

4 MR. THAXTON: Thank you.

5 (Applause)

6 MR. THAXTON: Joe Urban. Is Joe back in yet?

7 MR. URBAN: My name is Joe Urban, U-R-B-A-N.

8 I'm with United Mine Workers of America. Hi, Marvin.

9 MR. NICHOLS: Hi, Joe. How are you doing?

10 MR. URBAN: I'm doing fine. Bob, how are you
11 doing?

12 MR. THAXTON: Just fine.

13 MR. URBAN: Marvin, let's you and I talk about
14 something. Dust averaging. Don't like it, do you?

15 MR. NICHOLS: Are we talking about the current
16 system of averaging five samples?

17 MR. URBAN: Uh-huh?

18 MR. NICHOLS: Yeah. No, I don't like it.

19 MR. URBAN: Okay. I don't either. But I don't
20 think you can replace averaging with less than a number
21 of samples. Correct me if I'm wrong. Right now we have
22 somewhere in the neighborhood of 30 samples taken per
23 working unit because it takes five to get your average,
24 correct? Single sample rule, which United Mine Workers
25 support, we're saying we shouldn't need that many samples

1 for verification purpose, right, for compliance purpose?

2 But what we're also saying, Marvin, which I
3 don't think is coming out clearly here -- we agree with
4 you on the averaging issue, okay? What we don't agree
5 with is that that number of samples taken should not
6 decrease. That's what the miners have a problem with.
7 Give me 45 samples per working unit, and I'll be
8 satisfied, Marvin. But you know and I know the reality
9 is you don't have the inspection force to do that, and
10 that creates a problem.

11 Do operators want single sampling? No, they
12 don't want it because it doesn't give them as current --
13 the five averaging sample does not give them the time to
14 get into compliance over that averaging span time.

15 But let's not mislead the miners out here. We
16 agree averaging is wrong. But we need to increase the
17 number of samples that we take. If we had been taking
18 30, 34, or 36 samples a year at a mine at each working
19 unit, then let's continue or add to that.

20 The airstream helmet issue I think has been beat
21 to death. We don't want them, Marvin. We don't want
22 them.

23 MR. NICHOLS: I think we've got that.

24 MR. URBAN: And I understand what you have said
25 in reference to administrative and engineering controls.

1 But I think the act clearly articulates what should be
2 in place first, and that is all engineering controls
3 before you look at other avenues, i.e., respirators,
4 personal protection, whatever.

5 MR. NICHOLS: That's not different from what
6 we're saying.

7 MR. REYNOLDS: In the preamble, if you'll read
8 on page 10798 and 10799, it specifically states that all
9 engineering controls had to be place. All of them have
10 to be exhausted before we would ever go into a situation
11 where MSHA would allow the use of PAPRs or administrative
12 controls. There is a detailed description of what we
13 mean by that, and that will remain in place.

14 MR. URBAN: Mr. Reynolds, I respect you. I
15 realize you're an attorney, okay, and I'm not here to
16 debate or argue the issues with you.

17 MR. REYNOLDS: I'm just trying to say what is --

18 MR. URBAN: I understand. What you're
19 explaining to me is preamble. It's not the law. It's
20 not the rule. You show me where that explanation is
21 placed in the rule itself, verbatim.

22 MR. REYNOLDS: Okay. Give me a minute. It's
23 under conditions of use. Under 7211, is it?

24 MR. URBAN: What page, sir?

25 MR. REYNOLDS: On 10877. Okay. It's 210. If

1 you look on 72 of 210, if you've got the thing, 10877 --
2 if you read under A, 1, i, and 2. Must include all the
3 feasible engineering controls, reduce the concentration
4 of respirable dust in every occupational environment
5 where PAPR is required as low as achievable, and maintain
6 other occupational environments at or below the
7 verification limits.

8 MR. URBAN: Okay. And who verifies whether or
9 not that has been made?

10 MR. REYNOLDS: MSHA.

11 MR. URBAN: Can you explain one question for me,
12 Mr. Reynolds? Why have we reverted to talking about the
13 PAPRs? Why have we said in the working faces or working
14 sections rather than in the mine atmosphere? Why are we
15 talking that language out of the definition?

16 MR. REYNOLDS: We're not. I mean, we can't
17 change what the Mine Act says. But we've set up this
18 scheme for in limited situations when all feasible
19 engineering controls have been exhausted there would be
20 approval to use these to protect miners. But the
21 existing Mine Act standard remains the same.

22 MR. URBAN: Keep in mind, gentlemen, I'm just a
23 coal miner, okay? I'm not an attorney. I'm not a
24 scholar. I'm not a mathematician. So when I look at
25 70.218(a), it says if a valid equivalent concentration

1 measurement -- what is that? How do I determine that as
2 a coal miner? For any occupation sampled by MSHA meets
3 or exceeds the citation threshold value, the CTV -- which
4 I can find it. You gave it on the next page, the little
5 table -- that corresponds to the applicable dust standard
6 in effect. Now as a layman coal miner, how do I know
7 what that is?

8 MR. REYNOLDS: The use of the term "applicable
9 dust standard" is meant to cover those situations where
10 you have an operation that has a reduced dust standard.
11 That's why they use applicable. We've had this question
12 before. When they say applicable dust standard, they're
13 trying to include operations that are operating under a
14 reduced dust standard, which I think George said was 44
15 percent of the MMUs that would be -- mines would be under
16 a reduced standard.

17 So instead of saying under the dust standard, as
18 in the dust act, we use the term "applicable dust
19 standard" to include those people that had a reduced
20 standard because of quartz. That's why -- I understand a
21 lot of people were confused by that term in the other two
22 hearings.

23 MR. URBAN: Okay, okay. And that was my only
24 point, that the language is so complex and confusing to
25 the average miner. It really is.

1 MR. REYNOLDS: Okay. The other thing about
2 equivalent concentration, the reason that was there was
3 to try and provide coverage for people working under
4 extended work shifts and work weeks. There is a method
5 of making sure that they remain under the 2 milligram
6 standard under the Mine Act, too. That's what all that
7 equivalent concentration stuff is about. And it is
8 defined in -- there is a definition, and then there is a
9 long description of the definition in the preamble and in
10 the rule.

11 MR. THAXTON: And it's equivalent to what we do
12 right now with the MMU equivalent concentration that you
13 calculate now to some degree.

14 MR. URBAN: Bob, I understand what you're
15 saying. But again, his lengthy explanation answers my
16 question with the fact a regular coal miner can't
17 understand that.

18 MR. REYNOLDS: I know. It is a proposed rule,
19 and we're getting -- we're hearing loud and clear that
20 it's complicated and difficult to understand. And we are
21 having these whole series of public hearings and
22 listening to everybody. And we're open to your comments.

23 If you have suggestions on other language that might be
24 easier to understand or other ways of describing what --
25 I understand my description is long. What we're trying

1 to do with these words is protect everybody working long
2 shifts. We're trying to protect everybody who may be
3 exposed to levels higher than the 2 milligram standard.
4 And, you know, that's what we're here for, is to -- if
5 there is some suggestions on what other terms we could
6 use to describe equivalent concentration level -- I mean,
7 one thing I've heard so far today is we need a table of
8 acronyms so that you can look in there and see what a VPL
9 is and what an equivalent concentration is.

10 MR. URBAN: Since you're the general counsel,
11 Mr. Reynolds, and this committee has formulated this
12 proposal, I'm going to ask you a legal question, and that
13 is who has the power to change this proposal? Does this
14 committee have that power or does the assistant secretary
15 have to do it?

16 MR. THAXTON: Let me. Joe, these are agency
17 rules, and we're here to take your comments. If you have
18 comments on how to improve these rules, we're glad to
19 take them. But they're agency rules.

20 MR. URBAN: You didn't answer my question. I
21 have a suggestion once I get a response to my question.

22 MR. THAXTON: Give us your suggestion.

23 MR. URBAN: Give me my response.

24 MR. THAXTON: We're here to take your comments.

25 MR. URBAN: Okay. Basically, here is my

1 comment, Marvin. We have tried and tried and tried to
2 clearly articulate to you that the miners out here
3 working in the mines, these helmets will not work.
4 You're relying on outdated testing that has been done on
5 the airstream helmet, 1979. What we're suggesting is
6 take this proposed rule -- and I realize there has been a
7 lot of time -- a lot of intense work went into this. I
8 realize that. But throw it in the damned trash can.
9 It's not worth the paper it's written on. And go back to
10 the drawing board. And, yes, Marvin, Mr. Joe Maien and
11 the United Mine Workers of America will give you all kind
12 of information that you need to help write it to where
13 miners can understand it, plain and simple.

14 I realize the fact that, yes, we have been
15 waiting and waiting on a continuous monitoring system.
16 What has got me upset, Marvin, is we're this close.
17 We're this close. With the help of NIOSH, we're this
18 close to having a continuous monitoring sampling device.

19 But my gut feeling is I don't think the agency wants to
20 know because if they know the true exposure, then
21 something will have to be done about it.

22 Now I'm just telling you in coal miner language,
23 Marvin. That's the way I feel.

24 Bob, I did have one question on your chart that
25 you put up on the cases of pneumoconiosis, the study that

1 -- or the statistics that you did in 1981, 4.2 down to
2 2.8. You had said that there was a cumulative of about
3 30,000 individuals that had been tested in that last
4 testing period area. Is that correct?

5 MR. THAXTON: In the 2002 period, yes.

6 MR. URBAN: Okay. In 1981, how many people were
7 tested?

8 MR. THAXTON: I don't have those numbers here.

9 MR. URBAN: So there is a possibility that there
10 could have been a whole lot larger number of people,
11 which we'd have to get the mathematician involved here on
12 this one. But proportionately, there could have been --

13 MR. THAXTON: I can tell you with about a 90
14 percent confidence right now that there were less in each
15 of those periods before 2002. 2002 actually represents
16 probably the best number of people being tested to
17 determine the prevalence of disease.

18 MR. URBAN: Whenever that testing was done back
19 in 1981, do you have some kind of a breakdown of what
20 criteria that testing was as far as union/non-union
21 relationship?

22 MR. THAXTON: The information on the breakdown
23 is in a NIOSH document. It's the work-relatedness of
24 lung disease. It has all the charts. It goes back to
25 1971.

1 MR. URBAN: Okay. Do you know how many of the
2 non-union segment were tested in your last testing round
3 the last three years?

4 MR. THAXTON: The 2002?

5 MR. URBAN: Yeah.

6 MR. THAXTON: I do not have those numbers with
7 me, but there were a significant number of non-union
8 operations.

9 MR. URBAN: Okay. Correct me if I'm wrong, but
10 when you done your presentation, you talked about
11 sampling for a shift. Now I'm assuming that shift -- are
12 we still on eight hours or are we going to 10 hours, are
13 we going 12 hours? What is a shift?

14 MR. THAXTON: The shift as defined for
15 verification purposes, it's a full production shift.
16 That is, as I said, from the time you get to the MMU, the
17 pumps are turned on; you turn the pumps off when you're
18 leaving the MMU. That's on the operation verification
19 sample. MSHA compliance and abatement sampling is eight
20 hours portal to portal, as it is right now.

21 MR. URBAN: Okay. So you're still using the
22 eight-hour formula for --

23 MR. THAXTON: For compliance purposes, yes,
24 because it is an eight-hour standard.

25 MR. URBAN: It appears to me, Marvin -- and

1 don't misunderstand what I'm saying. We feel that there
2 is an ample opportunity -- and even though you have said
3 that in the proposed rule that if an operator so choose
4 to take advantage of the technology of the continuous
5 monitoring, do you really think that would happen?
6 Seriously.

7 MR. THAXTON: We've asked you for a whole series
8 of -- I think we asked a whole series of questions in
9 this proposed rule on how this new technology ought to be
10 used. And we're here to accept any ideas you've got.

11 MR. URBAN: Make it mandatory.

12 MR. THAXTON: That's your comment. We hear it.

13 MR. URBAN: Make it mandatory. No ifs, ands,
14 buts about it, which I really feel it's going to prove
15 itself to be a reliable technology. And if I'm right --
16 and I know, Marvin, we've been waiting a long time for
17 something. But, you know, a light bulb does come on
18 every now and then. So maybe it's time for our light
19 bulb to come on. Make it mandatory in the rule. That
20 way when it is finalized and it is commercialized, it's
21 available and we can protect our people. That's all I
22 have. Thank you.

23 MR. THAXTON: Thank you, Joe.

24 (Applause)

25 MR. THAXTON: John Stewart.

1 MR. J. STEWART: Yeah. My name is John Stewart,
2 S-T-E-W-A-R-T. I'm a coal miner for 32 years, 29 of them
3 being underground. I'm also the National Black Lung
4 Association president. And on a daily basis, I talk to
5 widows that's lost their husband due to Black Lung and
6 members who are slowly dying of Black Lung.

7 I stated before Black Lung was discovered in
8 1831. A hundred and seventy-two years later, we still
9 got members dying from it. In the last 43 (sic) years
10 since the Mine Act came into effect in 1969, there still
11 has been approximately 78,000 miners die of Black Lung.
12 These members died of Black Lung working in coal mines
13 that was 2.0 milligrams of respirable dust per cubic
14 meter of air or less.

15 Now we're introducing -- MSHA is introducing
16 these dust rules that will allow in some cases, with the
17 right proposition, for the dust to be up to 8 milligrams.
18 MSHA should be acting on the miners' behalf to clean up
19 the unhealthy, unsafe conditions in the mines by lowering
20 the dust and increasing the sampler. The one shift
21 sampling is a good ideal to listen at. But the operators
22 is going to make sure that one shift sample is the best
23 possible sample there is. They can do that continuously,
24 and have done it continuously.

25 I don't think these rules -- they are ignoring

1 the miners' needs to decrease the dust and increase the
2 sampling. Right now, there is, as I said, 2.0
3 milligrams, and miners are dying every six hours of Black
4 Lung, on average. That's 1,400 to 1,500 miners a year
5 that dies of Black Lung.

6 We all expect MSHA would set the standards to
7 assure that working conditions are free of respirable
8 dust so miners wouldn't suffer a long, drawn-out death.
9 We had a lady testify in the Charleston hearing that you
10 all heard that went through that for eight years, Linda
11 Chapman, a very good speaker and a very religious person.

12 She knows well what our members go through. And by
13 asking the coal companies to verify their own dust rule
14 when, as people before me have said -- you know, there
15 was 160 individuals or companies that have been convicted
16 or pled guilty to criminal charges of dust frauds. It's
17 not reasonable.

18 We need the dust monitors. We need that dust
19 monitor on our miners where they can monitor themselves
20 24/7, 365 days a year. That's what -- that's the whole
21 program right there. That would eliminate the whole
22 problem. If we got our members knowing what dust they're
23 in, they're going to see to it that they get out of the
24 dust. As it is right now, the company is going to see to
25 it that they get around the samples, that they get around

1 the MSHA in one way or another.

2 The Mine Act of '69 not only says that mine dust
3 must be 2.0 or less, it also says that no issues -- no
4 standards can be issued that lessens the protections of
5 the miner. This dust rule, as I see it and most coal
6 miners sees it, does lessen our protection. Where there
7 is a possibility that the dust is going to be up to 8 or
8 even 9.33 milligrams, that is definitely lesser
9 protection.

10 The Mine Act also says, as I stated, that you
11 can't have a 2.0. That's even if one particular mine
12 don't ever get in the situation where they get up to
13 eight. We still in this new rules -- it still says 2.3.

14 Instead of going to the average lower part in the safe
15 and healthy part of the miner, you're going up to the
16 benefit of the company, which is wrong.

17 Coal dust in the mines can be kept under 2.0.
18 It can be. Like I said, I've spent 32 years down there.

19 And if you use water and you use air enough, you can
20 keep that in a reasonable atmosphere. It can be done.
21 Even -- I read a report the other day. Some England
22 mines -- most of England's mines is under government
23 control. They don't have Black Lung. They don't have
24 Black Lung problems. A lot of it may be since it is
25 government controlled. They control it. They don't

1 leave it up to the companies.

2 Of course, MSHA knows the law of the coal mines.

3 They know about the 2.0. But yet we're going to these
4 -- possibility of going to these airstream helmets that
5 no telling what it can get to. It's already kind of got
6 out of hand with the longwalls. Now it's going to work
7 itself into mining sections.

8 We had a longwall in 1974. Nobody, nobody could
9 stay on that thing hardly for eight hours. Not only did
10 we have a lot of problems, but we had a lot of dust
11 problems. We again had a longwall in 1994. It was a
12 considerable amount of difference. In the meantime, the
13 laws changed and made everything better. But we still
14 got the dust. We still got the problem. We still got
15 the people dying of Black Lung. And we will have, under
16 these new dust rules, a lot more than what we have now.

17 It should be mandated by MSHA that the samplers
18 increase and the dust is decreased. It should be
19 mandated that the miners are monitored 24/7, 375 days a
20 year. I am sure none of you as individuals on this
21 committee would want to see anybody die in the mines with
22 Black Lung. But as a committee of this new dust rules
23 that you're going to put into effect, that is exactly
24 what is going to happen. We're going to have thousands
25 of more people dying. It's going to increase because the

1 dust is going to increase. Our members is going to
2 increase of dying.

3 That's not even considering the unsafe practice
4 of the dust, additional dust, of explosions. And now,
5 under these rules, they're talking about the Belair going
6 to back to the phase two. The whole plan needs to be
7 rewritten and withdrawn. I think you guys are educated,
8 well more than I am. You guys can come up with a plan.
9 I don't know what the reason is why this is so much in
10 the company favor -- and that's what all miners believe
11 it is. But I don't think that there is any way around of
12 making it where it is going to be worse under what the
13 rules is going to be applied.

14 Like I say, it is my opinion that they should be
15 rewritten and sent back. Thank you.

16 MR. THAXTON: Thank you.

17 (Applause)

18 MR. THAXTON: Don Stewart.

19 MR. D. STEWART: My name is Don Stewart. I'm
20 president of Local 1613 in Carville, Illinois. I
21 represent 250 miners of the UMWA. And I'm here with a
22 couple of questions I'm having a hard time understanding
23 a few comments.

24 MR. THAXTON: Would you spell your name?

25 MR. D. STEWART: S-T-E-W-A-R-T. My first

1 question is, we're sitting here talking about eliminating
2 Black Lung. And we're all agreeing that we've got a
3 problem, Black Lung. So if we've got a problem with 2
4 milligrams since 1969 still causing Black Lung, why are
5 we talking about a lower number? That's pretty simple
6 stuff to me, but I think I'm missing something. Can
7 somebody answer that?

8 MR. THAXTON: It is explained in the preamble to
9 the rule that we are not assured that the sample results
10 that indicate compliance with the 2 milligram standard
11 are ones that we can substantiate. And because of that,
12 until we actually get controls and information that we
13 feel does show whether the 2 milligram standard was
14 adequate or not, it's premature to push for a lowering of
15 the standard until you actually have controls in place
16 and address the fact that you want samples that represent
17 what you're actually exposed to and getting control
18 measure in place that will meet that standard.

19 MR. D. STEWART: Well, that sounds pretty good.
20 But I would think if you went with a lower number, that
21 would be a better way to study it myself. I mean, maybe
22 I'm wrong and missing something here. But we all agree
23 we've got a problem that we're not doing -- we're not
24 making it better. It sounds to me like we're keeping it
25 the same or maybe even a little worse.

1 MR. KOGUT: Under the current system, because of
2 the way that the samples are averaged and because mine
3 workers here have expressed that on sampling days
4 conditions are different than on non-sampling days, we
5 believe that under the current system a certain
6 percentage of -- a fairly high percentage of days miners
7 are overexposed. The object of the plan verification
8 that we want to put into place and eliminating the
9 averaging is to eliminate all those overexposures
10 relative to the current standard.

11 So we believe that by eliminating those
12 overexposures, that's what is going to reduce the Black
13 Lung instances.

14 MR. D. STEWART: Okay. We've had a -- in our
15 mine the last 2002 -- I think was the last time we did
16 the X-rays. We had 12 new miners, Black Lung miners now.
17 We've got 11 or 12. Three of them were out-by
18 employees. You tell me how this plan is going to address
19 out-by employees. I'm an out-by employee, and what we
20 contend with every day -- there is dust in the beltlines.
21 And when I was a rock duster, I requested a sample
22 because I didn't get it often enough, and it was always
23 way out of compliance. And that has been an issue with
24 me for a long time. Why do we address out-by employees
25 different than in-by? I mean, they get Black Lung, too,

1 maybe more so with the rock dust than the ones in the
2 units.

3 MR. NIEWIADOMSKI: MSHA has been sampling out-by
4 areas, designated areas and non-designated areas, on an
5 annual basis for the past 20 years, okay? Operators have
6 been sampling bimonthly. And, you know, we've been
7 looking at that data consistently where there have been
8 thousands and thousands of shifts sampled. And every
9 time we've looked at those samples, we see very few
10 instances, especially in our samples, where you have
11 concentrations that come close to 1 milligrams. We have
12 not identified out-by areas as being problems. That
13 doesn't mean that we're not sampling. We are sampling
14 them, and we are targeting other locations.

15 Now to respond to your question about out-by
16 workers having CWP, well, we really don't know whether or
17 not those out-by workers have worked their entire mining
18 career in out-by areas. Most of the dust that we see, as
19 well you know, is where coal is being mined. That's at
20 the mechanized mining unit. Those are highest dust
21 concentrations. But as you well know, the rule does
22 require for the panel is almost all the way out now. So
23 summer is coming on. So it will be about 80 degrees or
24 plus on that face. And we've got soft bottom sometimes,
25 and that mud will build up on the feet. You ought to try

1 to work in an environment like that with a football
2 helmet on your head, basically.

3 We've got enough stuff on us now and we're going
4 to add something else. And people have told me
5 visibility is a problem, an issue, keeping them moist,
6 the humidity and stuff, you know, from breathing. So
7 just to say this is what we're going to do, I don't -- I
8 mean, this needs to be looked at a lot more than it is
9 being looked at, I think. I don't know if all of you
10 have ever been around a mine or what. But I'd encourage
11 you to go do something like that and see what it's really
12 like because it's a lot different in our world than it is
13 in the world you deal with. And I know you try to
14 address things, but I think we can do a lot better.

15 And my only thing -- the last thing I want -- a
16 comment I want to make is to me, we need more sampling
17 than we do now because the mine is treated different when
18 we got a guy there with dust pumps. It's treated a lot
19 different. Your rolls of are water better. The sprays
20 are all made sure they are working when you start. And
21 maybe we should do that every day, but that's not the
22 reality.

23 So I think we need more sampling. And let's not
24 -- let's go below two, not above two. We know two don't
25 work. I mean, your theory might be all right to you, but

1 to the average guy down there every day that goes home
2 spitting dust out, they're not too crazy about it. And
3 another thing, I think everybody that works in a coal
4 mine and every safety committeeman that represents people
5 ought to at least be able to read this and go explain to
6 his employees what it means. And none of us can do that.

7 So I appreciate your time.

8 MR. THAXTON: Thank you.

9 (Applause)

10 MR. THAXTON: Tim Miller.

11 MR. T. MILLER: Good afternoon. My name is Tim
12 Miller, M-I-L-L-E-R. I'm president of Local 5138 UMWA.
13 I also serve on the state mining board in Kentucky. I'm
14 here to represent all miners. I don't want to dissect
15 and make any difference in miners. All miners are
16 miners. I worked myself non-union for 18 years. A lot
17 of you guys are hearing information today from guys that
18 have basically worked in union settings. I worked in a
19 non-union setting for 18 years at a longwall. Had two
20 longwalls there, one underground mine at one time.

21 One thing that I want to make everybody clear is
22 that on a MSHA day, when you have sampling and you have
23 average sampling on the MSHA day -- it's what they called
24 it in a non-union setting -- it's a perfect world.
25 Everybody needs to understand. All the sprays worked.

1 All the dust was taken care of on those days.

2 So when I think about the people that are
3 working in the non-union mines that basically are
4 voiceless, and you're talking about single sample days
5 and doing away with the other four days, then it just
6 tells me that they're going to lose 80 percent,
7 basically, of the time that they have a decent
8 environment to work in.

9 When MSHA walks away, whether you impose
10 airstream helmets, if you do that -- those airstream
11 helmets are expensive. Non-union operators are not going
12 to have those things subjected to damage every day. And
13 they'll be there when your inspectors are there, when
14 MSHA personnel is there. Those things will be on the
15 power center when MSHA is not there.

16 There is no way other than continuous monitoring
17 that you can correct this problem. This is an ongoing
18 problem. It has been going on for as long as time. We
19 all know that. As long as there has been a lump of coal
20 to mine, there has been people dying from Black Lung.

21 It's obvious that with the continuous
22 monitoring, it's a no -- you can't fool the system, guys.
23 You just can't do it. If you're monitoring it
24 constantly, then you get a constant read-out. We all
25 know that when we drive down the highway, speeding down

1 the highway, you're constantly looking for that state
2 trooper. It's the same way in an underground mine, okay?

3 But if you have those radar detectors sitting on the
4 side of the road that's shooting your speed, you don't
5 know, and you see that helicopter and you don't really
6 know what is going on, you drive the speed limit.

7 If you're constantly monitored, you'll do what
8 is right. If you're not constantly monitored, you're not
9 going to do what is right. And I'm not here today to say
10 that everybody is bad. But I do know with experience
11 individual people -- sometimes you have to protect them
12 from them selves. And coal miners are no different than
13 anyone. With these airstream helmets -- they look like a
14 fireman's helmet -- you get in a situation where you're
15 in low code and you have those things strapped on your
16 back, you lose all flexibility in the neck. You
17 basically have restricted vision, restricted breathing.
18 The fog is unbelievable, the condensation on them, and
19 you can't use those things.

20 I've tried to use those things before, and I've
21 talked with a lot of people on our longwall that tried to
22 use those in the past. And again, they all ended up on
23 the power center stored away. Guys just could not use
24 them.

25 We've had the black box before on our long wall,

1 had trouble with getting it mounted in the places that we
2 wanted it mounted, things of that nature. Joe Maien come
3 down and looked at that before. I've got personal -- I
4 guess personal experience with some of the things that we
5 talk about. Once you have exhausted all your
6 administrative controls and all your feasible engineering
7 controls that we talked about today -- noise conservation
8 is something that MSHA has really been big on over the
9 last few years. And we had a shear that was out of
10 compliance on our longwall. And basically, what happened
11 with that is once the company had exhausted all their
12 avenues, then they requested a P code.

13 You know, MSHA has never -- at that time, you
14 know, MSHA never handed a P code. And so we were tied up
15 in legal red tape with this P code for months and months
16 and months while guys were being exposed every day to the
17 noise. And, you know, MSHA wasn't going to give a P code
18 to this district that we work in here first, is what I
19 was told. And so when we talk about exhausting all of
20 our controls, you know, then that really gets into some
21 gray area. And I hope that everybody remembers about the
22 P codes and the noise conservation and all that and what
23 that really actually does.

24 And I think we're all, whether we like it or
25 not, are taxed with each individual miners' health and

1 safety. It's as simple as that. And I think that as far
2 as the health of a miner, there is no doubt that Black
3 Lung is still here. It's still prevalent. The safety of
4 the miners -- the airstream helmets definitely impair a
5 miner. There is no doubt. But when you put the constant
6 monitoring system on a miner, then you force everyone to
7 comply. It's black and white and as simple as that.

8 You don't have some calculus expert -- you'd
9 have to be a calculus expert, I think, to be able to do
10 some of these formulas. We're talking here today, and
11 everyone here says, you know, your rules and your new
12 proposals are going to subject miners to four times more
13 dust than they're subjected to today.

14 Now I can't sit here and draw this up and do the
15 proper math to show you that that's case. But no one
16 here today can tell us that that's not the case. So if
17 we have constant monitoring, it looks like a no-brainer.

18 Now I hope that we're still not tied up in an
19 age where basically we're worried more about dollars and
20 cents and corporate greed and the almighty dollar. I
21 know that coal companies are powerful entities, and I
22 understand that they also have a say, especially dealing
23 with state rules and regulations in our state we deal
24 with with the operator every day.

25 But again, it's obvious. Constant monitoring,

1 and your problem is over and solved, and there will be no
2 more need for all these meetings that we're having today.

3 I appreciate your time today.

4 MR. THAXTON: Can I ask you one question,
5 please?

6 MR. T. MILLER: Sure.

7 MR. THAXTON: You've stated that use of the
8 continuous dust monitor would take care of it. Everybody
9 would be protected every day. Wearing the continuous
10 dust monitor, though, you also indicated that it's
11 because we have MSHA days because MSHA is there and it's
12 like the police being there to look over. Why do you
13 think wearing a monitor just by itself would substitute
14 for MSHA presence? A monitor is just another piece of
15 equipment that could be taken off and put over on the
16 power center or left outside when MSHA is not there.
17 What makes you believe that just having a continuous dust
18 monitor available for each miner is going to force that
19 it would actually be utilized in the manner in which it
20 is designed?

21 MR. T. MILLER: Well, first of all, I think the
22 continuous monitors -- the apparatus that we've been
23 looking at pictured in here -- it's basically contained
24 in your camp lamp. And it will be on your person with
25 your camp lamp. And I don't think there is anyway to

1 separate that system. So if a coal company had a
2 complete set of camp lamps opposite of those for their
3 miners, I think that would be exposed. I think that it
4 would be absolutely impossible for you not to be
5 monitored every day.

6 When I talk about MSHA days, I want you guys to
7 understand what I'm talking about. When you know that
8 you're going to be sampled by MSHA or that the company is
9 going to be doing their own sampling, things are
10 different on those days, especially in a non-union
11 operation. Totally different. All the bells and
12 whistles are there. All the bells and whistles are
13 there, and they're working that day.

14 But on those days that you're not dust sampling,
15 those bells and whistles don't necessarily have to be in
16 operation. And I'm talking about water in the hallways
17 and all that. I've been subjected to that many times.
18 Over the years of being a non-union miner, I've watched
19 people take the dust pumps with the company when the
20 company is supposedly are running the DAs, the designated
21 areas, and maybe taking samples in the return. I've seen
22 those things gathered up and taken to the intake. There
23 is no doubt. And I've seen that happen before.

24 We've seen all kinds of things happen when an
25 inspector comes in to run his dust and leaves his pumps

1 and goes back outside and waits until the end of the
2 shift. There is all kinds of avenues for fraud there.
3 And you give people a lot of leeway, and maybe in some
4 instances even make criminals out of otherwise people
5 that would be honest. But I think with the continuous
6 monitoring system on the camp lamp, the apparatus that we
7 looked at today, it's a no-brainer. It's absolutely --
8 you'll make everybody comply.

9 And I go back again to saying, you know, when
10 you see the state trooper down the road, you know you're
11 speeding, you definitely slow up. The damage is done,
12 guys. Like this P code I talked about with the noise
13 conservation and things like that. You continue to
14 expose people through all the red tape. It can take
15 eight months. It can take a year. And then they say,
16 well, we've come up with some new engineering controls
17 now, so we're going to try these. So we spray our shear
18 with foam, put the foam on it. You know, it stays on
19 there a week, and it's gone. And they say, well, that
20 didn't work. It basically dies. Let our mine completely
21 mine out and expire all their reserves, and we still have
22 miners exposed to all that noise over that period of
23 time.

24 But again, to answer your question -- and I
25 don't mean to drag this on. But it's obvious. If you're

1 continually monitored, then MSHA knows what is going on
2 every day, every minute, every hour. It's a no-brainer.

3 MR. THAXTON: Thank you.

4 MR. T. MILLER: Thank you.

5 (Applause)

6 MR. THAXTON: Lance Miller.

7 MR. L. MILLER: My name is Lance Miller of Local
8 1613, United Mine Workers. A lot of this stuff we've
9 been going over and over. And earlier, you talked about
10 the extenuating circumstances where they could use the
11 PAPRs or whatever. And people have asked you what that
12 is going to be. And I -- our mine, we're driving 12,000
13 feet. And probably the last 40 crosscuts, maybe 50
14 crosscuts, at 150-foot centers, the coal ceiling goes
15 down to about 4-1/2 feet, and they're still cutting out
16 about 3 feet at top. And we have compliance problems, or
17 have had compliance problems.

18 Now is that going to be an extenuating
19 circumstance or a planned event? I mean, what is -- you
20 said when they hit rock or something like that, we could
21 do it. And I'm kind of curious as to is it a short-term,
22 long-term planned event or what?

23 MR. THAXTON: The supplemental controls is set
24 up to be a short-term thing. The operator actually is
25 required in the rules themselves that the supplemental

1 measures cannot be utilized for more than 30 days. If
2 they do, then they have to incorporate new controls into
3 the plan and reverify them.

4 The supplemental measures that we're talking
5 about are designed to take care of things that last
6 three, four days, something like that, because the time
7 period that it would take to get controls put in place,
8 verify those controls to get a plan actually approved,
9 the situation that caused the need for the additional
10 controls would be over with, and we wouldn't be able to
11 verify it then as far as working.

12 When you're talking about what you're saying,
13 that you're driving the last 30, 40 breaks through rock,
14 that you're mining three foot of rock, the length of time
15 that that would take would necessitate that the operator
16 would have to actually put in controls and verify their
17 plan, or they'd have to substantiate that they have
18 exhausted all feasible controls before they would be
19 allowed to use the supplemental controls.

20 MR. L. MILLER: A little bit ago, we were
21 talking about our local. We've got I think a dozen new
22 Black Lung cases. And most of these guys -- I know of
23 only one or two that have worked at other mines. Some of
24 them have less time than I do, probably 25 years. One
25 guy is 45 years old that has it, and is pretty healthy.

1 And he, by the way, is the one that hadn't worked in-by
2 very much. And what I have a problem with is we have
3 2.0, which means maybe 2.33, which could be 8.0. And if
4 we're still having this many cases, a dozen at our mine,
5 with 2.0, I'd hate to see it -- and I don't think we
6 should see it -- go on higher and allow them at 2.3, the
7 8.0 because even with measures, as everybody has pointed
8 out, there are going to be people affected by it that may
9 or may not have the respirators or something like that.
10 And I think it's just going to send it on higher.

11 And personally, I just feel that this is wrong
12 to keep going higher and higher with these dust
13 standards, and that if anything they should be taken
14 lower. And I know there has been a lot of talk about the
15 continuous monitoring. And at least if those were
16 around, whether they were used every day -- but at least
17 that you could send them out and there would be, I think,
18 less chance of any fraud or deception or anything of that
19 sort.

20 Thank you very much.

21 MR. THAXTON: Thank you.

22 (Applause)

23 MR. THAXTON: Let's take a break until 12:45,
24 just about 20 minutes. Butch Oldham will be the next
25 commenter.

1 (Whereupon, a short recess was taken.)

2 MR. THAXTON: Can you guys hear me? Can you
3 hear me now? Okay. Our next commenter will be Butch
4 Oldham.

5 MR. OLDHAM: My name is Edgar Oldham,
6 O-L-D-H-A-M, Jr. First, we'd like to thank the panel for
7 the opportunity to speak today. I appreciate that. But
8 also, I come before this committee today in opposition of
9 the proposed rules. As I watch what is going on within
10 MSHA today, it bothers me with the direction they're
11 going in. I recently attended the public hearing
12 regarding the use of those rules that absolutely made no
13 sense to me when it was clear that petitions that are
14 presently in place at various mines provide much more
15 protections than what it is being proposed.

16 Is MSHA on some kind of mission to prove their
17 loyalty to coal companies? Because they're definitely
18 proving to the miners across the country they're not on
19 their side. Most people have the opinion that less is
20 sometimes better. But that isn't the case when it comes
21 to sampling for respirable dust that our nation's miners
22 are being exposed to.

23 I know from first-hand experience how the dust
24 sampling process works, how it has worked in the past and
25 how it is working today, with the widespread cheating

1 that has taken place and the way miners have been cheated
2 out of the precious years of their lives due to working
3 in excessive dust. I know from firsthand experience
4 because I myself am a coal miner -- I had to breath the
5 dust. I've worked in the coal mines. I've been behind a
6 miner when there wasn't a scrubber on it, when it was so
7 black you couldn't even see the end of the boon, when you
8 had to change your dust filter in your dust mask two and
9 three times a day.

10 So I know what the exposure is. I don't have to
11 guess. I've been there. As the old boy says, I've been
12 there and I've done that, and I've paid for it because I
13 don't breath like I used to either.

14 So what MSHA in my opinion is proposing is just
15 another way for the coal companies to deprive miners out
16 of a working environment that is as dust-free as
17 technology can provide. The technology is only available
18 only if the coal companies use it and only if the
19 regulatory agencies require them to implement it. You
20 know, it appears to me we've allowed technology for
21 production to overrun the safety factors that we have
22 here. We've put high voltage miners into the mines.
23 We've put high voltage longwalls in the mines. We've
24 done all this stuff to get production up. But we've let
25 it slide on how to protect the miners when it comes to

1 dust because we all know that the more power you put on
2 the miners and the faster you cut that coal, the more
3 dust that's generated.

4 And, you know, I've seen the guys in the mines
5 that have to work on these longwalls that has so much
6 water on them that they have to wear rubber boots, lined
7 suits, and everything else just to keep theirselves dry.

8 And, you know, maybe you're controlling dust, maybe
9 you're not. But those guys are paying a price for it,
10 guys, believe me. And the technology, you know, should
11 be worked on to help those guys. They hate to go to
12 work. I've talked to them that's on the longwalls.

13 When you have to put boots on and tape yourself
14 all up to keep from getting soaking wet and working every
15 night, the job becomes miserable. It's not a job
16 anymore. It's just something you have to do to provide
17 for your family.

18 We thought and we expected that when we asked
19 MSHA to take over the dust sampling program that we the
20 miners we're going to receive a better dust sampling
21 program, and one that would be a model for not only this
22 country, but one that other countries would want to
23 follow. But instead, what these proposed rules is
24 written, miners will no doubt get less protections.

25 We thought in our discussions with MSHA,

1 industry, and others that we were working toward a
2 continuous sampling program, only to find out we were
3 going to get less sampling than we had before. I am
4 sorry to report to you today that this isn't the type of
5 program we were looking for. Coal companies don't need
6 any help devising ways to beat the system. Just
7 remember, they wrote the book on it. Besides, MSHA is
8 supposed to protect the miners' best interest and not the
9 coal companies.

10 We have all too often witnessed the
11 manipulations that have taken place on what we call
12 sampling days versus non-sampling days. We have seen
13 companies require that the curtains be hung up properly,
14 that water sprays be checked after every cut, that
15 cutting bits be checked and changed after every cut, that
16 miners be removed from the return side of the section so
17 they won't be exposed, and miners being switched out in
18 order to reduce their exposure.

19 Then on non-sampling days, management didn't
20 care where you were at or what you were doing. It really
21 upsets me when a senior official states -- when MSHA
22 states in the newspaper that the union doesn't understand
23 that the union's criticisms are based on an old way of
24 thinking, and that MSHA is looking at requiring better
25 dust control plans. That statement alone should be a

1 slap in the face to every working miner across the
2 country who is working underground or on the surface that
3 are being exposed daily to the respirable dust.

4 What I would say to MSHA and this official is
5 where in the world have you been in the last several
6 years while miners were being exposed to this dust? Why
7 haven't you required adequate dust control plans that
8 work to protect miners instead of plans that just get by.

9 Your agency is as much to blame for miners contracting
10 Black Lung disease as anyone because you haven't required
11 dust control plans that work, only ones that provide
12 minimal coverage and, like I said, plans that just get
13 even -- that just get by, even though your agency had the
14 power to require more.

15 You made the statement that there are better
16 plans out there. So whether this rule passes or not, we
17 are expecting to see much better plans being improved
18 from your agency. Why is MSHA so hellbent on ignoring
19 what Congress required in the Mine Act, where miners
20 wouldn't be exposed to anything greater than the 2
21 milligrams? Are we off on some mission of our own to
22 just do whatever pleases the person in charge at MSHA,
23 whether it is in violation of the Mine Act or not?

24 Miners were looking for and expected MSHA to
25 come out with a rule that required full shift sampling.

1 Many miners that I have spoke to continue to ask why
2 doesn't MSHA sample for our full shift because we are now
3 required to work 10- and 12-hour shifts a day. It seems
4 as though as soon as the dust pumps are turned off and
5 collected after an eight-hour sample, it's taken -- that
6 the eight-hour sample is taken -- it's no holds barred,
7 and now let's run some coal.

8 Another issue that I would like to discuss is
9 why should our tax dollars be spent on creating an
10 advisory committee to look into better ways and
11 technology to protect miners from respirable dust and
12 Black Lung disease that MSHA isn't going to listen to
13 anyway. This reminds me of a news program called, "The
14 Fleecing of America." The advisory committee wasn't some
15 one-sided committee that traveled across the country
16 trying to sell their program. They were a group of well-
17 educated and talented individuals trying to look at ways
18 to help miners from contracting Black Lung disease.

19 The advisory committee was charged with making
20 recommendations that would improve dust levels in the
21 mines. Yet MSHA has virtually ignored their
22 recommendations, the same way they are ignoring the coal
23 miners across the country.

24 I apologize for not having anything positive to
25 say about these proposed rules. And I'm sure by the end

1 of the public hearings, you and your agency will get the
2 message that miners aren't going to sit idly by and let
3 you destroy what little protections they presently have.

4 They want and deserve better than what you have
5 proposed. Now it's your job to go back to the drawing
6 board and create rules that truly protect the miner and
7 not the company.

8 Also, at the public hearings that were held
9 several years ago, you saw miners that were there to
10 testify that were non-union employees. These miners came
11 directly from work, and their faces were black with coal
12 dust. At that time, you asked -- at that time, they
13 asked you to help them out with their dust problems at
14 the mines they work at. And even today, you have failed
15 them, and this rule will fail them, too.

16 One thing I'd like to also comment on is over
17 the items in the dust rule becoming policy. And I'm sure
18 that anybody that has been on the safety committee and
19 anybody with MSHA that gets the program policy manual and
20 sees how many changes of policy comes through there and
21 how many you get in the mail understands why we're so
22 afraid of this rule because policy don't work because
23 whoever is in charge can change policy. And when things
24 become policy and you get the program policy manual, and
25 it is always an interpretation of the next guy in line,

1 it becomes a problem for us.

2 Also, you talked about the continuous dust
3 monitors. We talked about why we would want the
4 continuous dust monitors, why we would not want that
5 instead of an MSHA sample. Well, to us, the problem is
6 real simple, why we believe that if this continuous dust
7 monitor does what it says and what it is designed to do,
8 that we also understand it has got a downloadable feature
9 on it. And just as you require records for the hoist,
10 just like you require records for the preshift, these
11 dust samples can be downloaded and become a record at the
12 mine also that can be sent to MSHA or anyone in the
13 country.

14 So people can look at what miners are being
15 exposed to. Then they can get a confidence level of
16 exactly what is going on in these mines on a continuous
17 basis. It don't hurt to keep -- you know, do a preshift
18 every day so they can keep a record of these dust samples
19 every day. Then we will truly know what is going on.

20 Also, under the proposed rule, you know, the
21 question has been asked many times can the operator go
22 over the 2 milligram standard before being cited. And we
23 all know that a minimum can be 2.33 before he can be
24 cited under the proposed rule. NIOSH and the advisory
25 committee have both recommended that the standards be

1 lowered, not more. But even at the 2.33, how many more
2 miners are we getting exposed?

3 And like you said, maybe some people are being
4 overexposed by doing the averaging of the samples. But
5 we know we've got a 2 milligram today, and then let's get
6 that under control before we try and raise it to a 2.33
7 or anything else. And we truly believe it should be
8 lower than that anyway.

9 Under the old rule, isn't the purpose of
10 conducting bimonthly samples to see if the dust plans is
11 meeting what it is designed to do? So now we're going
12 from a bimonthly sample to a quarterly sample. How can
13 this be better than before? Like I said before, less is
14 not better.

15 One point I'd like to make is what about these
16 smaller non-union mines that are all across eastern
17 Kentucky? They don't even pay for the violations that
18 are issued to them let alone incorporate increased
19 engineering controls. But they continue to operate, and
20 MSHA allows them to do so. You all know that as well as
21 I do, in the ability to pay. How many times have we
22 heard that with violations? This is just a small coal
23 operator. He can't afford this. Let's let him have a
24 reduced violation here. But now here is Peabody or some
25 other company that's a multinational corporation.

1 They've got the ability to pay. So what? Those miners
2 there can pay their part. But these little guys can't.

3 You know, it's ridiculous. If you're in the
4 coal mining business, everybody ought to be treated the
5 same, whether you are a non-union miner working for \$5 an
6 hour or somebody at Peabody working for 15. You know, I
7 don't understand, and never will understand, where that
8 analogy comes from.

9 Also, the point you're missing is that miners
10 are looking for a single shift sample without all of the
11 other bells and whistles and formulas included. They're
12 looking for less dust exposure, not more. Miners are
13 contracting Black Lung today at the 2 milligram standard.

14 And at the least, your proposal will allow the 2.33,
15 like I said before, before the company will be even
16 cited. This proposal would not reduce Black Lung. Give
17 the working miner the benefit of the doubt, not the
18 company.

19 And just for my benefit, how many of you on the
20 panel has ever worked at an underground mine? I haven't
21 met one of them.

22 MR. THAXTON: You said mine.

23 MR. OLDHAM: Coal mine then. Let me restate
24 that.

25 MR. THAXTON: Were general miners, and I've

1 worked with the agency 32 years. And the leadership of
2 MSHA probably has a combined total of 100 years mining
3 experience. And we have all sorts of underground mining
4 experience to draw on these rules. And I think I'm
5 uniquely qualified to figure out what part an industrial
6 hygienist plays in the overall scheme of rulemaking and
7 dust control and what part miners play. So I think we've
8 got that covered.

9 MR. OLDHAM: I appreciate it. But I hope you
10 understand that miners understand what part of less dust
11 they also want to breath, and not more. Thank you.

12 MR. THAXTON: Thank you.

13 (Applause)

14 MR. THAXTON: Dan Skinnie.

15 MR. SKINNIE: Good afternoon, gentlemen. Dan
16 Skinnie, S-K-I-N-N-I-E. I'm presently the chairman of
17 the safety committee at Local 2161, southern Illinois. I
18 just have a few points and a question. On these dust
19 monitors, instead of having a provision for them, they
20 should be mandatory. We've been haggling over these for
21 years. I mean, let's get it on the show. The technology
22 is there. Put it in law because it ain't going to happen
23 if you don't. You know that as well as I do.

24 We need more samples taken by MSHA and more
25 inspectors to do it. The ones we got now, you know,

1 they're strapped. I mean, they haven't been hiring any.

2 And I realize they ain't going to get to it if they
3 don't hire some more inspectors.

4 We need to lower -- instead of having that 2.33
5 before you can cite it, we should put it at 1.67, or you
6 can cite it at 2. I mean, if that's the reason you're
7 doing it. Is that for the error? Is that how you got
8 that figured on 2.33, figuring the error in it?

9 MR. THAXTON: Yes, it's been counted. Go ahead.

10 MR. KOGUT: Yes. It's to allow for measurement
11 error. That's right.

12 MR. SKINNIE: Also, these rules should be plain,
13 which an everyday miner can understand. You know, that's
14 who it's for. It's not for some guy sitting in an office
15 or whatever. It's for the miners. And one question I'd
16 have -- I heard Dennis Boehm talking about the
17 feasibility of these engineering controls and how it is
18 determined. Is there economics figured into this, on
19 feasibility? I mean, if something costs \$100, is that
20 too much? Or how do they -- does that have anything to
21 do with the feasibility?

22 MR. THAXTON: Not with the controls we work with
23 today, right? Anybody want to respond to that?

24 MR. REYNOLDS: Let me read you what it says in
25 the preamble. It says -- it's based on the commission,

1 the Federal Mine Safety and Health Review Commission, a
2 case. And what they look for is -- the commission itself
3 -- that MSHA must assess whether the cost or the control
4 is disproportionate to the expected benefits and whether
5 the total cost is so great that it is irrational to
6 require these to achieve those results. So cost would be
7 an element.

8 MR. SKINNIE: So in other words, if something
9 costs \$1,000 and you can fix it permanently, and they
10 thought it was too much, it wouldn't be fixed, whereas if
11 it were \$5, it would. I mean, is that what we're saying
12 here basically?

13 MR. REYNOLDS: Well, they would also look at it
14 in relationship to the reduction in dust. I mean, if
15 it's something extremely expensive and it doesn't provide
16 much of a reduction in dust, they probably wouldn't
17 require it. But, you know, it is an element, in response
18 to your question.

19 MR. SKINNIE: All right. Thank you. You know,
20 we've heard several speakers here today, you know, and a
21 lot of them have repeated their complaints and issues.
22 And, you know, sometimes some people might feel that
23 we're beating a dead horse. But, you know, something in
24 this manner I really feel needs beating. Probably if you
25 took a poll of people in this room, I don't know if you'd

1 find one that agrees with this rule in its entirety. And
2 by no means am I a genius or anything. But, you know,
3 some of it may be because we don't understand it. I
4 mean, I don't understand a lot of it. That's me.

5 That's all I've got, if anybody has any
6 questions.

7 MR. THAXTON: Okay, Dan. Thanks.

8 (Applause)

9 MR. THAXTON: Russ Stilwell.

10 MR. STILWELL: Good afternoon. My name is Russ
11 Stilwell, S-T-I-L-W-E-L. I live in Booneville, Indiana.
12 I worked in the mines for 12 years. I've been with the
13 Mine Workers for the last 20. I also served in the
14 Indiana General Assembly. And I only point that out
15 because most things affecting mine, mine safety and all
16 I'm involved in in that aspect. And many miners across
17 the state of Indiana, because of that position, also
18 question me from time to time about laws that --
19 sometimes they think they're state laws, but they're
20 federal laws, and we get that all the time.

21 So I came down today particularly to get more
22 information on what I could and then to try to make sense
23 of what was proposed here. And I did a pretty good job
24 when you all finished your presentation. And then when I
25 heard Mr. Maien's presentation, I believe it is, I'm

1 like, well, I didn't do such a good job in the first part
2 of the presentation. And then when I heard all the other
3 presentations, I've come to the conclusions I've got a
4 lot more questions than I have answers, if I may.

5 The first thing I'd like to ask -- and I think I
6 know the answer. I just want to verify it. Under the
7 dust control regulations that MSHA does currently across
8 all the mines in the country, are there any states that
9 do duplicative type work?

10 MR. THAXTON: I don't know of any state that
11 actually --

12 MR. STILWELL: So most of this -- so most of the
13 burden is placed on MSHA. I know that we have safety
14 enforcement in other areas of mining safety, that many
15 states do equal or sometimes superior. But clearly, many
16 states do equal what MSHA does in the process. But in
17 dust regulation, it is primarily the MSHA, is it not?

18 MR. THAXTON: That's correct.

19 MR. STILWELL: The second question I have -- and
20 I was going over the -- and I was on the Internet,
21 actually. Why would I be doing this on a Saturday
22 evening. And I was curious. And when I found 105-
23 proposed rules, my printer ran out of paper at page -- I
24 think it's 17. But I marked up a couple -- and I really
25 didn't go back. I was tired and I was ready to get home.

1 I went back and I marked out a couple of questions here,
2 and I think it's under the preamble. Or I'm not sure if
3 it's -- what you called that. But it says, consistent
4 with the Mine Act, this proposed rule preserves the
5 primacy of engineering controls. I'll give you a page,
6 but I don't know if there is page numbers on that.
7 Seventy-six is where it's at.

8 But my question is pretty simple. It's just the
9 one sentence I'd like to have an answer on. To the
10 extent they are technologically -- I think I understand
11 what that means -- and economically feasible. Now
12 technologically feasible I think I understand can it be
13 put -- implemented with reasonable technology and current
14 use. What I don't understand is what does it mean,
15 economically feasible. I don't understand that. And let
16 me preface that to say is economically feasible -- say
17 I'm a coal company operator who has very limited
18 resources, and it's not economically feasible for me to
19 implement this versus a coal operator who has deep
20 pockets that it is economically feasible. How does MSHA
21 define economically feasible? I couldn't understand that
22 one.

23 MR. THAXTON: I think Larry -- Larry gave you
24 the legal answer of it.

25 MR. STILWELL: Well, I understand --

1 MR. THAXTON: Wait a minute now. We ask the
2 questions here. Let us respond here. The goal can't be
3 wholly out of line with the benefit. Now much of this
4 has to be dealt with on a case by case basis. I don't
5 know any control where you said that it's not
6 economically feasible. Maybe the panel does, for dust
7 control.

8 MR. REYNOLDS: The only kind of control that I
9 would see as being possible to come under an economic
10 determination at this time would be that we determine
11 that there needed to be a change in the mine itself, such
12 as sinking a new air shaft or reorienting the mine
13 completely so that you would be able to get better, say,
14 air flow, or you would have to change the water flow and
15 the water pressure that's available. Something like that
16 may come in and say, well, that's not feasible at this
17 time. But it may be something that we build into the
18 program so that maybe a year from we would expect the
19 mine to have that in a place because they would be in a
20 position to do that.

21 MR. STILWELL: Okay. It was an honest question,
22 I mean, just looking for a candid answer from the
23 question. I really meant that very sincerely.
24 Oftentimes, even the things that I did in the state
25 house, if I put economically feasible, I'm going to get

1 drilled because someone is going to say what do I mean,
2 and I'm going to be compelled to give them an answer. And
3 I was looking for the same type of answer. And if I
4 understand that correctly, some sort of a manifestation
5 of a large expense, but more so than that. They're going
6 to have to change the way the mine by itself may have to
7 operate.

8 MR. REYNOLDS: That's true. I mean, even to the
9 point of if we walk on a longwall and it's a long wall
10 that, say, does not have the most up-to-date technology
11 on remote moving of the shields -- to come in and say to
12 somebody that that's a way of preventing people's
13 exposure downwind, that's a \$24 million expense to
14 replace the longwall. It may not be able to be done on
15 this panel that you're working on because you can't stop
16 in the middle.

17 MR. STILWELL: Okay.

18 MR. REYNOLDS: It may be something that you say
19 prepare for the next one or the next panel down. It's
20 not -- like I said, most of these things, when we make
21 those determinations, it's reviewed every six months. So
22 what we say is not feasible today doesn't necessarily
23 mean it's not feasible tomorrow or down the road.

24 MR. STILWELL: Okay.

25 MR. REYNOLDS: It's an ongoing process. So

1 economics do figure in. Like I said, it cannot be wholly
2 out of proportion to the benefit that you're going to
3 derive from it.

4 MR. STILWELL: Thank you. The second question
5 that I had -- and I'm confused to the degree that when I
6 watched the presentation earlier -- is it 2 milligrams
7 that are the allowable from the '69 act? Or then I heard
8 Mr. Oldham speak just a few minutes ago that that's
9 really 2.33. And then I heard Joe Maien speak very, very
10 early this morning that that really can be eight or more.
11 And I guess my answer is I really am confused from that
12 perspective. What is it?

13 MR. REYNOLDS: Two.

14 MR. THAXTON: The standard is 2 milligrams.

15 MR. STILWELL: Okay. Let me ask you this then.
16 If the standard is 2 milligrams -- and as I'm reading
17 this register -- and I didn't mark this until I got here
18 today because I never really noticed it. But then it's
19 on that same page down here. And I understand that it
20 said it's 2 milligrams. But then the paragraph right
21 below that says, using the engineering environmental
22 control parameters, the proposed ventilation plan at
23 levels not exceeding 115 percent of the quantities
24 specified in the plan. So is that allowable standard 115
25 percent of the 2 milligrams?

1 MR. THAXTON: No. The 115 percent relates to
2 the dust control measures, that is, the quantity of air,
3 water --

4 MR. STILWELL: Okay. So that's not related to
5 the 2 milligrams whatsoever.

6 MR. THAXTON: No, it is not.

7 MR. STILWELL: Okay. Thank you for that. Then
8 I guess lastly -- because it would be repetitious, and I
9 don't want to be repetitious from many of the other
10 people who spoke over here. But getting down to the
11 continuous dust monitors that many people have spoke
12 about, is there a reason that -- I understand that
13 they're allowable under this proposed rule, and I
14 understand that most people -- and I've even seen heads
15 nod up here just like these are really good things, and
16 these would really give us an accurate measurement so we
17 can really control the dust and make sure that our
18 procedures are complied with in the mines that you're
19 governed to provide safety for the miners.

20 Is there a reason that these aren't required
21 under these proposed rules if they're as good as most
22 people agree that they are.

23 MR. THAXTON: Well, they're in the prototype
24 stage being tested right now. The rules would
25 accommodate those if they are developed and become

1 commercially available.

2 MR. STILWELL: Then can I ask you this question
3 from MSHA's perspective or NIOSH's perspective, if it
4 will. When is it anticipated that those would become
5 commercially available, and what would be -- and then the
6 second part of that question, what would be the driving
7 force to make them commercially available?

8 MR. HEARL: I can answer part of your question,
9 I think. Currently, those units have been tested in a
10 lab setting only. They've successfully been tested in a
11 lab setting. And at this point, over the next two
12 months, they're taking the units out to underground mines
13 to test them out in the mining environment. So it's
14 hopeful that they'll perform well under real mining
15 conditions. But obviously there is no guarantee that
16 they will. They might need to come back and do some
17 additional work with it.

18 That testing is expected to be completed by
19 August of this year. Commercialization would be a matter
20 of market forces driving the personnel to manufacturer
21 units.

22 MR. STILWELL: Okay. So would it be fair to say
23 if these -- if the results, including what I call
24 tinkering you might have to do to get those to the
25 standards working in the real world of underground mines,

1 is as good as you anticipate they may be in the
2 laboratory, that it would be feasible at some time by the
3 end of this calendar year, all things equal, if they
4 worked as well you anticipate, that they could be
5 available for commercial operation?

6 MR. HEARL: I couldn't really speculate as to
7 how long the industry that would be producing them, the
8 company that would be producing them, would take to tool
9 up to --

10 MR. STILWELL: I understand. The market
11 conditions would have an effect on that as well.

12 MR. HEARL: What I can tell you, though, is if
13 they are successful, we would be basically, you know,
14 launching them out and saying private sector can do your
15 thing after the testing would be complete. The earliest
16 that would be would be August.

17 MR. STILWELL: I understand. And then they are
18 -- if I understand this correctly -- another question,
19 but I want to make sure that this proposed rule allows
20 for the use of them. Is that correct?

21 MR. NICHOLS: That's correct. And we have a
22 whole series of questions asking for comments on how they
23 ought to be used.

24 MR. STILWELL: Then the comments then under this
25 here, would it not be wise to -- well, this other

1 question precedes. Originally, this was a 60-day comment
2 period.

3 MR. THAXTON: Ninety.

4 MR. STILWELL: No. It's always a 90-comment
5 period. March to July is 90 days then, the 90-day
6 comment period. Would it not be within the perusal of
7 MSHA to, number one, extend the comment period or review
8 a combination of what NIOSH is talking about for these
9 monitors to perhaps come out and say that upon such and
10 such effective dates that these are going to be mandatory
11 in mines. And if you will, let me give you an example of
12 another industry that said this just can't work, and
13 that's the auto industry, which is doing a pretty good
14 job over the last 10 years of producing cars in America.

15 I think they said we can't produce cars with air
16 bags because it's not feasible. I think they said that
17 we can't get 30 miles to the gallon out of any car. And
18 the government set standards and a date and says you
19 will, and they did. They did the same thing with air
20 bags. And we're dealing with people's lives under those
21 situations, and we're dealing with the oil crisis we had
22 in this country.

23 I would like to ask MSHA if you would be
24 agreeable -- would you look at imposing using these
25 personal continuous dust monitors at a given date that

1 this is going to be the rule of the industry, and every
2 miner is going to wear one so that you can monitor that
3 on a 24/7 daily basis?

4 MR. REYNOLDS: Actually, Russ, Mr. Stilwell, we
5 are asking for comments on that. I mean, that's a very
6 good suggestion. And other things we'd like to hear in
7 comments or we're specifically asking for in comments is
8 exactly how would it be used in the mine, what miners
9 would wear it, who would have the authority to stop
10 mining or do things differently in the mine. Would it be
11 the miner? Would it be the operator? Would it be the
12 supervisor?

13 We're really looking for specific answers to
14 those questions and suggestions on how to do that. And a
15 delayed date -- I mean, that's a very -- you know, we're
16 welcoming your comments.

17 MR. STILWELL: Well, my comment would be -- and
18 I haven't run this -- I normally just speak from the
19 cuff, as I am here as well. But if in fact MSHA scrapped
20 these rules and said upon a specific date these are going
21 to be complied with and set out some proposed rules, in
22 consultation with representatives of miners and the
23 industry -- number one, I think you'll find disagreement
24 about a mandatory use of the personal dust monitor. But
25 beyond that, if you come out with that, I think then you

1 would find resolution about how they are going to be
2 used, how the data is going to be used, who does the
3 monitoring, when they're going to be allowed in and out
4 of the section determined on the dust quality. But I
5 think that's something that MSHA should look at in a real
6 clear, decisive way rather than looking at these same
7 standards. And I think we all agree that Black Lung has
8 not been eradicated in this country, in due respect that
9 we all want to eliminate that disease.

10 I don't question anybody on this panel or anyone
11 else that we want that eliminated. I don't question that
12 whatsoever. But if we're doing that by the same
13 standards that has been in place from '69, I'm not sure
14 how we accomplish that unless we have the most accurate,
15 up-to-date monitoring available to get compliance in the
16 mines. Would that be a fair statement or am I missing
17 something? I'm speaking pretty much as a southern
18 Indiana boy that used to work in the coal mines 20 years
19 ago. And I'm just trying to make sense of this, sir.

20 MR. THAXTON: We've laid out a number of things
21 that we think would take us a long way to do that. Stop
22 this averaging of samples. If you were here -- were you
23 here for the earlier presentations?

24 MR. STILWELL: Yes, I was.

25 MR. THAXTON: Yeah, well, you said you were,

1 yeah. You know, where you average those five samples and
2 --

3 MR. STILWELL: Sure.

4 MR. THAXTON: Eliminate that. Improve dust
5 control plans -- the current minimum is -- if operators
6 are producing, they get 60 percent, we sample them and
7 get two or less, that's compliance. We think that's not
8 right. An approved plan, get them up to where they
9 reflect actual mining conditions. And we think that's a
10 step in the right direction.

11 MR. STILWELL: Thank you. In closing, if I may,
12 I guess my comments would be limited based on the answers
13 of my questions, and I do appreciate those answers. The
14 personal dust monitors, I think that's something that
15 MSHA not only -- obviously, you've looked at it because
16 you've mentioned it in the proposed rules. It's just
17 like -- to me, it's no different than when we're having
18 tens of thousands or hundreds of thousands of people
19 killed on the highway system back in the '70s and '80s
20 and '90s, and the auto industry said we can't stop it.
21 And we eliminated it to a large degree because certain
22 agencies in the federal government came out and said you
23 will do this.

24 And I think the same thing can be said of MSHA.
25 If you'll come out and say you're going to do this, and

1 then work with the industry and a representative of the
2 workers to set the standards on how this new technology
3 is going to be complied with in the mines, then we'd have
4 I think a win, win, win -- MSHA, operators, and the
5 representatives of miners, a trip win situation where we
6 eradicate Black Lung, reduce the dust levels, and you'll
7 have the most up-to-date compliance that we can have.

8 So with that, gentlemen, I appreciate the
9 opportunity to be down here, and thank you for coming to
10 Evansville.

11 MR. THAXTON: Thank you.

12 (Applause)

13 MR. THAXTON: Dave Cantu? Dave is not here?
14 Mark him off. Steve Earl?

15 MALE SPEAKER: He's not here.

16 MR. THAXTON: David Acker?

17 MALE SPEAKER: Dave is not here.

18 MR. THAXTON: Sam Sukey?

19 MALE SPEAKER: He's gone.

20 MR. THAXTON: Greg Young?

21 MALE SPEAKER: Greg Young is away.

22 MR. THAXTON: I believe it's Frank Winstead.

23 MR. WINSTEAD: Here.

24 MR. THAXTON: Frank is still here.

25 MR. WINSTEAD: Frank Winstead, W-I-N-S-T-E-A-D,

1 25 years experience, United Mine Workers safety
2 committee.

3 Are you comfortable?

4 MR. THAXTON: Go ahead, sir.

5 MR. WINSTEAD: Again, I guess I'll go over a few
6 things that has probably done been over several times.
7 I'm not agreeing with anything that's above 2 milligrams,
8 you know, of course. You've got to understand that right
9 away. I got a lot of time underground, a lot of time in
10 different areas underground, continuous miner, out-by,
11 shuttlecar, pinner. And I know from experience that you
12 have good days and you have bad days with dust control.
13 And I think that that would -- the continuous monitor
14 device, the one that we seen and the like, would be very
15 beneficial to know exactly what a miner is subjected to
16 on a monthly basis.

17 I think that -- I don't believe right now that
18 we're getting a good representative sample of what miners
19 are subjected to. Do you? As a panel, do you think that
20 the way that we're doing it now is a test that represents
21 the true facts?

22 MR. NICHOLS: I think there is bigger problems.

23 I think this averaging of these samples is a big
24 problem. I think mining at a minimum --

25 MR. WINSTEAD: Say that again. I can't hear

1 you.

2 MR. NICHOLS: Well, I think there is bigger
3 problems. I think --

4 MR. WINSTEAD: A bigger problem than dust?

5 MR. NICHOLS: No, than getting -- your question
6 was are we getting representative samples.

7 MR. WINSTEAD: Representative samples, yea. Are
8 we getting a true representative sample of the miners
9 that are underground? Again, I've been underground for
10 25 years, you know, right at it, in all phases of mining,
11 in-by and out-by. And I can tell you that our controls
12 are effective to a certain extent. But they become
13 ineffective if they're not properly maintained, for
14 instance.

15 At that time then, if there is no dust pump in
16 that area, we really don't know what that miner has been
17 subjected to on an average for a week or a month.

18 MR. THAXTON: As far as whether we think there
19 is representative samples, we've said that we don't think
20 so because sampling right now is only required --
21 operator samples have to be collected at 50 percent of
22 normal production that has been reported on the previous
23 bimonthly period. MSHA samples only have to be collected
24 at 60 percent of the average of 30 shifts.

25 We've got dust control parameters that are in

1 place, but they are the minimum parameters. And
2 generally speaking, most times when we're sampling, those
3 parameters are being exceeded, either a little bit or
4 quite a bit. So to say that we're getting samples that
5 are truly representative of what happens in each and
6 every day, no. That's why we're saying that we need
7 these rules, is because we need to address those
8 situations like we had in the example today.

9 One shift, somebody is exposed to greater than 3
10 milligrams, three shifts less than 1 milligram or less
11 than two indeed, and then another shift of somebody being
12 exposed to greater than three, your average is still 2
13 milligrams. That kind of situation, while it may
14 actually represent what was monitored on those days with
15 the conditions that are applicable under the rules as far
16 as what they have to meet, that's an indication that we
17 have people exposed on shifts over the limit, and that's
18 what we think is causing people to develop Black Lung,
19 and that's what we need to get a handle on.

20 So to say we want representative samples, that's
21 what we said, yes, we want to do.

22 MR. WINSTEAD: I respect that. That's what
23 we're really all after. We're after to protect the
24 miners underground. That's our key function. Your key
25 function, I guess, would be to protect those miners and

1 find out what they are subjected to and try to correct
2 it.

3 I think that we can -- just my opinion -- that
4 we can overwrite rules. We can write them to where that
5 there is so much groundwork that there is big loopholes
6 in them, you know. You can make so many words that
7 somebody can find a way around them. And I don't think
8 that all operators are going to do that. I think that a
9 lot of operators are truly concerned with their workers.
10 I've work for several different companies.

11 But there are some out there that are not. And
12 those that are not, will try to find a way around the
13 rules and that's really the working. So my opinion is
14 that don't stop the work. I appreciate all you've done
15 -- kept me from getting killed probably a dozen times
16 with your rules. But I believe that we should make the
17 rules a good bit less complicated. Make them more
18 concise. Spell it out in laymen's terms. I think Mr.
19 Maien can help you with that, lay it down to where that
20 it is pretty binding instead of making it so wordy that
21 somebody will try to find a way around the words.

22 I feel like sometimes -- and this is -- I feel
23 like before the dust sample comes about that we do a
24 little extra preparing underground, water and roadways.
25 And myself, I've changed water sprays out completely,

1 done duct work planing, things like that, curtains up.
2 And all of a sudden, boom, you know, we'd have a dust
3 sample. Maybe it's coincidental. I'm not pointing any
4 fingers. It seems like it happens quite a bit.

5 Okay. Another problem I had with sampling is
6 that it seems like a lot of people think that if the
7 sample goes out that we just turn a knob and it's back in
8 compliance. Usually, if the sample is out -- and this is
9 just my experience -- if the sample is out, there is not
10 a whole lot they can do to get it back in because they're
11 already probably doing what they can. It's probably a
12 piece of equipment. And one particular piece of
13 equipment comes to mind. And I had a lot of trouble with
14 it on the safety committee because the operators were
15 complaining to me quite a bit about it just not working
16 for them. You know, at best it didn't do real good.

17 I was going some place with that. But it seems
18 like that whenever it came time to sample that mine --
19 and I asked for it to be sampled -- something happened on
20 the run, you know. Baler went down, didn't run enough
21 coal to get the sample, things like that. That mine was
22 finally taken out. I guess they got scared of it.

23 But that's pretty much all I have.

24 MR. THAXTON: Okay. Frank. Thanks.

25 MR. WINSTEAD: Thank you.

1 (Applause)

2 MR. THAXTON: Paul Newton.

3 MR. NEWTON: Paul Newton, N-E-W-T-O-N. And I
4 want to talk to you a little bit about the personal side
5 of this. I worked in a deep mine for a few years. I
6 quit the deep mine because of dust. I decided I didn't
7 want to take the chance on Black Lung. I worked with men
8 who were so affected with Black Lung that they couldn't
9 work, and they were still on the job. We took -- we done
10 their job for them. When I was a young man, I was down
11 there. We was able to do their job and ours, too, and
12 see these guys. We'd just walk them over and sit them
13 down along the rib or set them on a coal bank or rock
14 dust, and we would do their work because they couldn't
15 work any longer.

16 And I watched these guys die. They had become
17 great friends, some of them, and I saw these guys die
18 with experience -- the trouble of having Black Lung. And
19 I'm not here to think that you guys aren't trying to do
20 something about Black Lung. But I think there are some
21 things that you maybe don't understand. I realize you
22 said you had somewhere of 100 years of experience. And,
23 fellows, I don't want to insult you, but unless you
24 breath the coal dust, you don't have the experience. You
25 may have knowledge about the dust. You may have

1 knowledge about what -- the being experienced.

2 But unless that dust comes into your lungs,
3 you're not experiencing coal mining. And whenever the
4 dust comes into your environment, then it needs to be
5 controlled. And if it comes into your environment -- if
6 I can pump in 2.0 into your offices every day for 10
7 years, and I just keep pumping it in and tell you it's
8 okay, you can breath this much, and you'll be able to
9 live, you would find you another job because you'd come
10 out black every day. And this would be something that
11 would bother you. You would either find another job or
12 you would find a way of getting this dust out of your
13 environment.

14 This is what we're talking about, is getting the
15 dust out of the environment. And I know that's what
16 you're working on. But I feel like that -- and maybe
17 it's a wrong feeling. But I sat back there feeling like
18 maybe that you guys are just appeasing us, that you're
19 just listening to what we have to say. You already have
20 your mind made up, and you're not really listening to
21 what the men are saying.

22 There are several ways I think these things can
23 happen. But I think you need to listen to the miners
24 that is working in the environment. I think you really
25 need to hear them. You need to hear their

1 representatives. You need to talk to them. You need to
2 ask what ways can we make this happen. Why aren't you
3 liking our program or our assessment of what we need to
4 do? What is wrong with it? And really want to know.
5 And I know that's why you're here. You're trying to find
6 that out.

7 But my question is will you change it if you
8 find it. Or is it already in concrete now and you're
9 only appeasing what we have to say? That's one question
10 I have. I know that sounds personal to you guys, and I'm
11 not trying to threaten anything. I'm just saying that I
12 believe that you have to breath the dust before you will
13 really understand what -- how it affects you. And I
14 breath the dust. That's the reason I quit, because I had
15 a young family and I didn't want to die a young man and
16 not be able to support my family.

17 There are several ways -- there is one way that
18 I think that this thing would happen, just in a view that
19 I have. And it's not an educated view. I'm not trying
20 to tell you I have all the answers. But one thing that
21 we had on the miner when I was in the deep mine was a
22 monitor to shut down the machine whenever we got into too
23 much gas. We had the most modern mine in the country.
24 We had gas, lights, and water, and we had plenty of -- we
25 only had one light, but we had plenty of the other two.

1 And whenever that machine -- whenever that gas
2 filled that entry or filled that -- where we had a face
3 -- it shut the mine. It shut it down, and everybody
4 knows that it does that. What I wonder is if what these
5 -- well, these monitors that you're talking about, that
6 personal monitor, is there any way to integrate these
7 things into the machine that you're running. Sure, it's
8 going to cost something. It is going to cost the mine to
9 do this.

10 But we're talking about lives here, guys. We're
11 talking about men and women who are going to die if we
12 don't cut down the amount of that coal dust that they're
13 breathing. And if we can integrate this in the machine,
14 that machine dies. The machine quits. It shuts off when
15 this monitor gets so high that it's not applicable for
16 them guys to be in that area. And I can't see that we
17 can do it any other way because when the mine shuts down,
18 when the buggy shuts down, when the roof holder quits,
19 they'll do something. The company will do something.
20 They'll have to because they'll have to get that machine
21 moving again to make money.

22 So they're going to do something. And I really
23 believe that this is the answer, to have something that
24 we can monitor our environment on an every day basis to
25 make this thing. And that's just my humble opinion.

1 There is something else. I thought maybe it
2 might impose too much on you fellows. But I feel like
3 that I'd like to pray for you. Maybe you don't believe
4 in God. Maybe all of you do. But I'd like to pray for
5 you. I really would. I pray for you, ask God to help
6 you guys form a plan that would truly help men and women
7 in our coal mines. If that's acceptable, I'll do that.
8 Does anybody reject that?

9 MR. NICHOLS: Pray for us tonight.

10 MR. NEWTON: Pray for you tonight?

11 MR. NICHOLS: Yeah.

12 MR. NEWTON: Okay. I'll do that.

13 MR. NICHOLS: Okay. As far as receiving your
14 comments -- I mean, we've so far probably heard in excess
15 of 100 miners testify. The way this works is that MSHA
16 considers every comment that we receive. And as we move
17 to the final rule stage, those comments are either
18 accepted or rejected. But if they're rejected, there is
19 a full explanation of why the agency did not think that
20 it had merit.

21 MR. NEWTON: Okay. That's fair enough. So
22 you'll send out to --

23 MR. NICHOLS: It will be in the preamble to the
24 final rule, just like this preamble explains what the
25 agency is trying to do here. It will cover -- you know,

1 if you've got 25 comments on a particular issue, those
2 will be grouped. But there will be a response written to
3 that comment. That's how the agency reviewed it,
4 considered it.

5 MR. NEWTON: Will you then make ruling to change
6 your rules here or is this already in place?

7 MR. NICHOLS: No. These are proposed rules.

8 MR. NEWTON: Okay.

9 MR. NICHOLS: And this is part of the process.
10 We come out with proposed rules. We have a public
11 hearing. For this rule, we're having six public
12 hearings. And then we receive a lot of written comments.

13 If you remember earlier, I said the record does not
14 close until July 3rd.

15 MR. NEWTON: Okay.

16 MR. NICHOLS: We'll take everything we've gained
17 from the public hearings, the written comment, and the
18 committee will review all of this. And then the
19 leadership will make decisions, and then we'll address
20 all of those comments in the preamble to the rule.

21 MR. NEWTON: Okay. Well, I don't think for a
22 moment that you guys are trying to go with one side or
23 the other. I don't believe in sides anyway. I think we
24 should work together to make this dust thing go away.
25 And that's what we're here for. Thank you.

1 MR. NICHOLS: Thank you.

2 (Applause)

3 MR. THAXTON: Tim Baker.

4 MR. BAKER: My name is Tim Baker. It's
5 B-A-K-E-R. I work for the United Mine Workers of
6 America, the department of occupational health and
7 safety.

8 Normally, I am pleased to be given the
9 opportunity to express my opinions and the opinions of my
10 union on rules that are being proposed by the agency.
11 Unfortunately, that is not the case with the proposed
12 dust rules. As each of you know, this is the third
13 hearing on these rules, and I have attended and spoke at
14 each of them.

15 At the conclusion of the second hearing, I
16 requested the panel carry a message back to Arlington,
17 Virginia, that through those two hearings approximately
18 75 individuals testified. There was a rally at the
19 capital. And no one that I was aware of had publicly
20 voiced support for this rule, and had requested that this
21 rule be withdrawn.

22 My question at this point, has anyone from this
23 panel taken that message back to Arlington?

24 MR. NICHOLS: I have.

25 MR. BAKER: And the response -- was there a

1 response?

2 MR. NICHOLS: I've taken the message back.

3 MR. BAKER: Okay. So there was basically no
4 response, which I guess is kind of typical of the
5 position that we are in and why we are in fact in a third
6 hearing and heading for a fourth, and I would assume a
7 fifth and a sixth.

8 I would like to start my comments here in
9 Evansville with our concern with the agency's approach to
10 this rule and how that action conflicts with the act. I
11 know we have discussed that previously in a piecemeal
12 fashion. Different speakers have approached it from
13 different angles. What I would like to do at this point
14 is basically go through those provisions in the act that
15 we feel that this proposed rule is in conflict with.

16 First of all, in one of the three --

17 MR. NICHOLS: Let me interrupt you. Is this
18 going to be different than what Joe entered into the
19 record at the end of the last hearing?

20 MR. BAKER: Some of it will be, yes. Most of it
21 will be.

22 MR. NICHOLS: Okay.

23 MR. BAKER: In 103(f) of the act, there is a
24 provision that guarantees miners specific rights. It
25 states the subject of regulations issued by the

1 secretary. A representative of the operator and a
2 representative authorized by miners shall be given the
3 opportunity to accompany the secretary or his authorized
4 representative during a physical inspection of any coal
5 or other mine, pursuant to the provisions of this
6 subsection A.

7 So for the purposes described in the act, miners
8 are permitted to accompany an inspector, and we have the
9 right to walk around is basically what we look at.
10 Further, the representative of the miner, who is an
11 employee of the operator, shall suffer no loss of pay
12 during the period of his participation in the inspection
13 under this subsection.

14 The union believes the agency, in proposing this
15 rule, is attempting to circumvent the act. You're doing
16 it initially by reducing the number of inspections to as
17 few as three per year in active and in use and one out-
18 by. The reduction in sampling will in fact severely
19 restrict the information miners have regarding dust
20 levels in their mine. Obviously, your samples will be
21 easier to manipulate by mine operators, and a scheme --
22 and given this scheme, miners may never know what dust
23 concentrations they're forced to work in.

24 Maybe for the purposes of the rule, that's what
25 we're looking for. Less cops on the beat will result in

1 fewer tickets. There is no doubt about that. However,
2 it does not by any stretch of the imagination mean there
3 is less crime.

4 But let me go one step further. With 103(f) of
5 the act, we are looking at a walkaround that goes to a
6 whole different place. Under the proposed rule, the
7 agency -- and the agency determines that all engineering
8 controls have been exhausted and PAPRs are required. And
9 I'll mention this briefly because I think we did discuss
10 it before. If dust levels go to 2.1 milligrams, to 8
11 milligrams, however we look at that, PAPRs are required.

12 There is a possibility that the miner's
13 representative will not be permitted in that area to
14 complete that walkaround. And that is a violation of the
15 act. That is clearly a violation of the act. If PAPRs
16 are required, and the individual who is doing the
17 walkaround is not trained on those PAPRs or has not been
18 given that opportunity, they will not be allowed to go
19 in-by. So we view that clearly as a violation of the
20 Mine Act.

21 Section 104(a) -- and I don't think we've
22 touched on this. It outlines when citations and orders
23 will be issued to operators for violating the act or a
24 specific regulation. In general, 104(a) states that an
25 operator -- if an operator is found to be in violation of

1 the act or any mandatory health and safety standard, they
2 shall be promptly issued a citation. It also states that
3 -- and I'll quote here -- that the citation shall fix a
4 reasonable time for abatement of the citation, okay?

5 The proposed rule changes that. The proposed
6 rule does not necessarily require abatement of a dust
7 violation. In fact, the operator can make a change in
8 the mining process, submit it to the district manager,
9 and the citation may go away. The district manager could
10 require the operator to reverify the plan and submit that
11 to the agency. So it is possible that this citation
12 could be done away with without the normal abatement
13 process that we understand today.

14 Clearly, this is not an option that the agency
15 has. You're violating the act by not recognizing the
16 abatement of the citation as outlined in 104. This is
17 not within the scope of your authority, and you do not
18 possess that right. Citations issued must be abated.

19 Now you are responsible to see that those
20 citations are abated. By looking at what the operator
21 does or doesn't do, whether it's engineering control,
22 PAPRs, or whatever, that is not what we consider
23 abatement. When you have a dust violation, you do a dust
24 sampling. So that is clearly a violation.

25 202(d) we have discussed I think at length. And

1 basically, what we're looking at is effective three years
2 after the date of the enactment of the act, each operator
3 shall continuously maintain an average concentration of
4 respirable dust in the mine atmosphere during each shift
5 in which a miner in the act of working in such mine is
6 exposed at or below 2 milligrams.

7 Again, that is a violation of the act to exceed
8 that to any extent. And I would say that when Congress
9 wrote the language, there was nothing ambiguous about it.
10 We believe that Congress was well informed when the
11 language was written. They understood the conditions
12 affecting miners. And they methodically thought out a
13 remedy. They set the standard, and there is not a reg on
14 MSHA's part to change that.

15 Coupled with 202(b), too, I think we must also
16 look at (b)(6), 202(b)(6), and while there is language
17 from two to six in that subsection that is important,
18 believe it or not, for brevity I will skip to six. And
19 basically, that states that no permit for renewal thereof
20 for noncompliance shall entitle any operator to an
21 extension of time beyond 18 months from the date of
22 enactment of this act to comply with 3.0 milligrams. So
23 that's 18 months into the act, okay? And they're saying
24 you can't have it higher ever again. And 72 months from
25 the date of the enactment, to comply with 2.0 milligrams.

1 And the reason that we believe this is important
2 is that because it reveals the thought process and it
3 reveals an understanding that Congress had when they
4 arrived at this, okay? And I believe that speaks volumes
5 for the language in the act. There is a process they
6 went through. They looked at these things. They
7 discussed these things. And they incrementally reduced
8 the amount of respirable dust allowed in the mine -- they
9 didn't come out one day and say you can only have 2.0
10 now.

11 So they realized what they were dealing with.
12 They went through the process and arrived finally at 2.0.
13 And that's important when we deal with the legislative
14 history and how the act was -- how the act really came
15 about.

16 The other section that I would like to mention,
17 and I think we have, is section 202(g) that states the
18 secretary shall cause frequent spot inspections as he
19 deems appropriate of active workings of coal mines for
20 the purpose of obtaining compliance with the provisions
21 of the act.

22 Now I've got to submit to you that the proposed
23 scheme here, whether it's three inspection shifts per
24 year or whether it's six inspection shifts per year of
25 MMUs and one in the out-by areas meets that requirement.

1 I don't believe that there is an individual outside this
2 hearing room that would consider that as frequent. I
3 just don't see that. Reducing compliance sampling to
4 these levels we believe is a violation of the act. And
5 we believe that the agency does not have the authority to
6 reduce so severely the number of inspections that will be
7 conducted.

8 I think that we have looked at 202(h) in great
9 detail. So I will dispense with reading that, except to
10 say that I must reiterate as machines get bigger, as more
11 coal is produced, as more dust is produced, we are going
12 to need to also enhance engineering controls that are out
13 there. This proposal does not do that. This proposal
14 will not encourage in any way, shape, or form, the
15 invention of any new environmental controls, and in fact
16 encourages just the opposite.

17 Finally -- and I think we've touched on this
18 briefly -- is the pertinent points of section 303(b),
19 where it states within three months after the operative
20 date of this title, the secretary shall prescribe the
21 minimum velocity and quantity of air reaching each
22 working face of each coal mine in order to render
23 harmless and carry away methane and other explosive
24 gases, and to reduce the level of respirable dust to the
25 lowest obtainable level.

1 In effect, the proposed rule reverses that.
2 This section, we believe, takes a proactive approach to
3 respirable dust in the coal mines. This section of the
4 law does not even limit itself to the 2.0. It says the
5 lowest attainable, if that happens to be 1.9 or 1.5. It
6 says basically we must continue to strive to reach that
7 level. We must continue to strive for better and better.

8 So we believe that the rule is in conflict with
9 the act. In all of the areas I have just cited, the
10 union believes that the agency does not have the
11 authority to make a change. We believe this rule to be
12 illegal, and we believe this rule should be pulled.

13 Just briefly, I'd like to get into a couple of
14 other things. And I will try not to be long. But
15 somebody mentioned the regulatory agenda earlier. And I
16 would really be remiss if I didn't at least make some
17 comment on that because the agency has over the course
18 of the last year or two years gone through that
19 regulatory agenda and removed some very important things
20 that miners were looking at as far as rules go. I mean,
21 there is an air quality standard. There is a dust
22 flammability standard. There is a continuous dust
23 monitoring standard. And all those were removed from the
24 table.

25 I see those as impacting miners in this rule in

1 a lot of ways. I saw those rules as possibly being very
2 protective of miners, but they're no longer on the table.

3 On the other hand, you've moved the high voltage rule.
4 You've moved the Belair rule. And now you're moving
5 these rules. None of these rules, in my opinion, address
6 the needs or the concerns of the miners. And with that,
7 I have -- and the brothers and sisters of my union --
8 have opposed those rules at each turn.

9 There is an interesting irony here. Because the
10 agency argued during the high voltage rule -- and then
11 whenever we talked about the Belair rule -- that you need
12 with something with uniformity, some consistency so that
13 every mine isn't doing a different thing so that you
14 don't have to deal with PDOs because every mine being
15 different, it complicates matters. It complicates
16 matters. You know, it's an administrative nightmare.

17 But here, what you're proposing is not different
18 standards for each mine. You're proposing different
19 standards for each MMU is what you're doing. And it
20 isn't -- in this respect, it isn't consistent with what
21 you argued with the other two rules. Now you either want
22 consistency across the board that says here is the rule,
23 you all live by it, or you have the consistency to say
24 everybody submit a different plan on how you feel today.

25 But you can't have it both ways. And that is in essence

1 what we're looking at with this rule. The Belair rule,
2 the high voltage rule, you say let's be consistent.

3 This way, you're saying everybody give us a
4 different plan for every section that you have in the
5 mine. And if mine to mine it's extremely difficult to
6 administer, then it's going to be even more difficult to
7 administer any of those plans MMU to MMU unless the only
8 possible rationale is here because the teeth of the rule,
9 the teeth to sampling and the teeth to checking on where
10 this rule will be or who is going to be there to police
11 it, is in a policy. Unless that policy goes away and we
12 do no sampling, then I think you've solved the problem.
13 Then I think you'll have consistency. No sampling means
14 you don't have to enforce any of it. And if it's in a
15 policy, that's always a possibility. And unfortunately,
16 that is a possibility we'd rather not look at, but must
17 look at, must look at from the history of what we're
18 dealing with.

19 Just a couple of other notes that I've taken. I
20 find it ironic. Sometimes I hear people say, well, are
21 you really listening to us, and are you really going to
22 pay any attention. And we get the same response. Well,
23 it's going to be in the record and, you know, it's all
24 going to be documented. And somehow this was all in the
25 record from 2000. Somehow hundreds and hundreds of

1 miners came to you and said take over all the sampling.

2 MSHA has got to do it, you know, because let's be honest.

3 I mean, I've had conversations here this morning with
4 coal operators, and they said, listen, no matter how high
5 I sample, you're not going to trust me. I told them,
6 you're right. I will trust you. I don't care what you
7 find.

8 But you need to take it all over. You got them
9 doing the verification, okay? That's a problem. We said
10 increase sampling. You didn't go there. I mean, there
11 is no way that we can look at this as increased sampling.

12 So when I hear people say sure, we're listening to you,
13 and that's why we're trying to form this rule -- and,
14 Larry, I appreciate, you know, your sentiment. But you
15 didn't listen in 2000. And I know that we have talked
16 before about if PAPRs ever come into the mine, the mine
17 committee has -- or the safety committee has the right to
18 go to the district manager and talk to him about it.

19 Fellows, if you didn't listen to us before and
20 you didn't listen to us in 2000 and you continue to
21 ignore us now, what is the sense of us going to the
22 district manager and saying, please don't do this to us?

23 Because you didn't listen to us before. It's a real
24 problem. It's a real problem.

25 Credibility is something that I believe the

1 agency is truly lacking at this time from the miners.
2 They just don't believe that -- if it isn't written in
3 black and white -- maybe there was a time when they said,
4 listen, they're going to take care of us, you know, and
5 we can get through that. But it's not there anymore,
6 guys. And if it's not written in stone, if it's not in
7 regulation, please don't expect us to because we went
8 from six inspections to four. So we need to look at
9 those things very carefully.

10 The other thing is miners have said that this
11 rule is complicated, and it is. They said it's extremely
12 hard to understand, and it is. Some have even suggested
13 that you try to write the rules a little simpler so we
14 can all understand it. But I submit to you that there is
15 absolutely no way you could write this rule in the
16 simplest fashion and have it not be fatally flawed the
17 way it is right now. It's just cannot happen.

18 The cure for the rule in our opinion is simple.
19 Monitor people all day long every day. And, you know,
20 how do we know that the dust -- the continuous monitoring
21 won't be tampered with? Well, you know, you try to make
22 them as tamper-proof as possible. I mean, that's what
23 you do. You put them on everybody, and if the light
24 doesn't move, the battery doesn't move, it's indicated by
25 the equipment. It says this thing was hanging from a

1 post for eight hours. You have that capability.

2 If you plug the end of the PDM, it's let you
3 know that somebody restricted the air flow. You make
4 them as failsafe as you possibly can. That's the answer
5 here, guys. That really and truly is the answer. And we
6 keep beating on it and beating on it, and maybe we need
7 to continue to beat on it. But we need to get there. We
8 don't need to be here with this rule. This rule is not
9 going to get it.

10 Just a few more questions. And I think that --
11 well, one question, and then I'll close. There was a
12 question asked -- and I thought it was a very good
13 question -- about feasibility of engineering controls.
14 And it wasn't exactly answered because you said, well,
15 here is what the review commission said. I guess the
16 question in my mind is if -- is there different standards
17 for different coal operators based on feasibility and the
18 ability to pay? And the reason I ask that, if Peabody
19 Coal is over here, can you say to them, Peabody, you have
20 the money. You have got to put this device on your
21 machine, and it's going to cost \$100,000. But Billy Bob
22 over here running coal, you need the same thing, but you
23 don't have that kind of money.

24 Is that what we're looking at? Or if Peabody
25 got to do it -- Billy Bob can't afford \$100,000 now. We

1 know that. What do we do with Billy Bob? I mean, where
2 does that go? Is that what we're talking about when we
3 talk economic feasibility, mine to mine to mine?

4 MR. NICHOLS: I think Bob laid out a fairly
5 detailed explanation as to what considerations you go
6 through. Do you want to add anything to that or do you
7 want to just stand by what --

8 MR. REYNOLDS: Tim, I was just going to say we'd
9 look at the MMU and we'd look at the mining operation.
10 We wouldn't be looking at the pockets of the operator.
11 That wouldn't be the issue.

12 MR. BAKER: Okay. So then I can be at least
13 reasonably assured that if it cost Peabody \$100,000, too
14 bad, Bill, you're going to get the 100,000, too. That's
15 what you're telling me.

16 MR. THAXTON: It's not mine size specific. An
17 operator with five to ten people working for them is no
18 different than somebody having 300 people working for
19 them.

20 MR. BAKER: Okay. And I'll be honest with you.
21 That's what -- and maybe it was just me. But that's not
22 the way it came across in the back of the room as I was
23 listening to the response.

24 In conclusion, all I'd like to say is at the
25 opening Marvin -- and I thought you did a fine job of

1 trying to say what this rule does do and what this rule
2 doesn't do. However, I would submit that this rule does
3 in fact submit that this rule does in fact reduce sampling
4 in the mine. It does and will increase dust levels. It
5 virtually eliminates, virtually eliminates miner
6 participation, retards engineering controls. It does not
7 protect miners to the degree they deserve. It does not
8 deserve the support of any working miners. This rule,
9 for all the obvious reasons, must be withdrawn.

10 If you have any questions, that's great. I'm
11 guessing that since I'm last --

12 MR. NICHOLS: Thanks for your time.

13 MR. THAXTON: Tim was the last person we had
14 signed up to speak.

15 (Applause)

16 MR. THAXTON: Okay. Thanks for your attendance,
17 your participation. And that concludes today's public
18 hearing.

19 (Whereupon, at 1:55 p.m., the hearing in the
20 above-entitled matter was adjourned.)

21 //

22 //

23 //

24 //

25 //

- 1 //
- 2 //
- 3 //

1
2
3

Heritage Reporting Corporation

(202) 628-4888